

DEPARTMENT OF HEALTH AND HUMAN SERVICES

NATIONAL VACCINE PROGRAM OFFICE PRESENTS:

WORKSHOP ON ALUMINUM IN VACCINES

Caribe Hilton International Hotel
San Juan, Puerto Rico

Jointly Sponsored by:

Task Force for Child Survival and Development

May 12, 2000

Eberlin Reporting Service
14208 Piccadilly Road
Silver Spring, Maryland 20906
(301) 460-8369

I N D E X

Call to Order	
Martin Myers	1
SESSION III: MACROPHAGIC MYOFASCITIS	
Moderator: Jose Centano	3
Epidemiology, Histology and Possible Clinical Associations	
Romain Gherardi	6
Non Clinical Safety Studies with Aluminum Hydroxide: Existing Animal Studies and Future Protocols	
Francois Verdier	30
Human Clinical Data on MMF	
Romain Gherardi	54
Panel Discussion - What We Know	
Moderator: John Clements	84
Panel Discussion - What We Don't Know: Establishing a Research Agenda	
Moderator: Dennis Murray	113
Communicating Health Messages: The Good, The Bad and the Ugly	
Max Lum	143
Workshop Summary	
Theodore Eickhoff	172
Adjourn	
Martin Myers	183

PROCEEDINGS

CALL TO ORDER

MARTIN MYERS

DR. MYERS: Good morning. Maybe I will just step out in the hall long enough to shoo people in.

[Pause.]

Welcome back to the Aluminum Vaccines meeting.

We are going to have a change in our agenda this morning.

Dr. Dalakas was unable to join us and as a consequence we are going to rearrange the agenda somewhat.

Dr. Gherardi is going to first give us a paper on the lesion of MMF and then Dr. Verdier is going to present his paper, and then Dr. Gherardi is going to discuss possible clinical associations with the MMF entity.

We are going to have discussion after each of the papers and then we are going to try and break for -- take a break at that point so we are going to basically put discussion time in for Dr. Dalakas' time.

Lena Kombo from the National Vaccine Program Office asked me to specifically make an announcement that speakers -- she would like your manuscripts by June 1st and discussants, where appropriate, the

1 moderators from the discussion groups, if you would
2 write a short summary of the discussion topics we
3 would appreciate that. Lena would like that by June
4 1st.

5 As you already know, she will probably be
6 sending you an e-mail fairly shortly to give you the
7 electronic address. We would prefer to have the
8 manuscripts electronically if at all possible.

9 I am just delighted to introduce our
10 moderator for this morning's session. Dr. Jose
11 Centano is the chief of the Epidemiologic Pathology
12 Group at the Armed Forces Institute of Pathology.

13 He chaired the Metal Ions -- organized the
14 Metal Ions meeting. He is looking much more relaxed
15 today than he was earlier this week. And his special
16 interest is metal ions in tissues so he has some
17 special expertise that he brings to us this morning.

18 Jose, I greatly appreciate your joining us
19 and also your fine hospitality in helping us organize
20 our meeting in San Juan.

21 SESSION III: MACROPHAGE MYOFASCITIS (MMF)

22 MODERATOR: JOSE CENTENO

23 DR. CENTENO: Well, thanks, Marty.

24 Welcome, all of you, to this session in the
25 morning. It is a pleasure for me to be with you
26 today here and to serve as the moderator for this
27 session and to have the opportunity of being with

1 such renowned scientists in this field of vaccines.

2 I would like to first start this session
3 with a very short introduction of what you will be
4 seeing during this morning. I would like basically
5 to go over some of the basic terms of basic
6 observations, both on the clinical observations of
7 MMF, of macrophagic myofascitis, and also some of the
8 very short observations that has been published on
9 the pathology overview of this disease.

10 (Slide.)

11 And then we will go into the sessions that -
12 - into the different topics that have been arranged
13 for you for this morning.

14 MMF is macrophagic myofascitis, as you are
15 going to be seeing from Dr. Gherardi, it is a
16 clinical -- it is an inflammatory myopathy, which
17 seems to be characterized by these basic
18 observations. It is an infiltration of nonepitheloid
19 histiocytic cell into muscle. That will be mostly
20 discussed very -- in detail by Dr. Gherardi.

21 It is a very rare condition and it was first
22 documented by the French group in 1993. And
23 obviously it seems to be -- appears to be associated
24 with the vaccine injections. Again this is going
25 to be very -- in very detail. It is going to be
26 addressed by Dr. Gherardi this morning.

27 (Slide.)

1 In terms of the pathology there are some
 2 very interesting observations that have been
 3 described by Dr. Gherardi's group as well. There is
 4 infiltration of large macrophages into all three
 5 facial layers of the muscle. Epimysium, perimysium
 6 and endomysium, and the most characteristic
 7 observation is on the epimysium. The most
 8 characteristic pathology.

9 The inconspicuous -- there is also
 10 observations that relate this as inconspicuous muscle
 11 fiber damage and non -- it is non-necrosis giant
 12 cells or mitotic figures. This is going to be
 13 discussed in detail by Dr. Gherardi.

14 The next slide is something that I know that
 15 most of you are very familiar with this but my boss
 16 here asked me to pass this to you because there is
 17 some -- all this chemistry is very well known by you
 18 but I would like just to remind some of the issues
 19 here.

20 (Slide.)

21 This is the basic muscle chemistry and
 22 basically you see the different layers, the
 23 epimysium, the perimysium, and then the endomysium.
 24 And the local reaction here seems to be on the
 25 epimysium. Again this is just basic chemistry but my
 26 boss here decided to show it.

27 (Slide)

1 The basic -- the presentation this morning
2 will focus on the following topics: First, we will
3 look at the pathology data and human clinical data
4 that we will be presented by Dr. Gherardi's group.
5 Basically Dr. Gherardi's going to address first the
6 pathology data and he will come back later during the
7 morning to talk more about the human clinical data.

8 Then Dr. Verdier is going to talk about the
9 animal studies and some of the clinical studies.

10 Unfortunately, we do not have with us Dr.
11 Dalakas today and the morning -- the rest of the
12 morning will be spent on discussions and panels
13 dealing with the different topics.

14 So to start this morning, it is a pleasure
15 for me to introduce to you Dr. Gherardi that is going
16 to talk to you about his work on the pathology of
17 MMF.

18 EPIDEMIOLOGY, HISTOLOGY AND
19 POSSIBLE CLINICAL ASSOCIATIONS

20 ROMAIN GHERARDI

21 DR. GHERARDI: I first would like to thank
22 Dr. Myers for inviting me to this meeting. May I
23 have the first slide, please?

24 (Slide.)

25 At the moment about 100 percent -- 100
26 people with so-called MMF have been recorded in the
27 world, including 92 in France. As you see here the

1 first case was recorded in '93 and afterwards there
2 was a huge increase of the number of detected cases
3 in France. And I can be sure that there was no bias
4 in equipment up to early '99.

5 (Slide.)

6 We first published a series of the 14 first
7 patients in the Lancet in 1998 and as you can see
8 here these patients had myalgias and fatigue as --
9 myalgias, arthralgias and fatigue as the most common
10 clinical symptoms. Other symptoms were rare and
11 finally were not consistently found in other
12 patients.

13 (Slide.)

14 Laboratory findings were poorly
15 contributing, including ECG which was inconstantly
16 myopathic, high CK levels, the muscle enzymes were
17 also inconstantly elevated, and there was a biologic
18 inflammatory syndrome in also a little less than one-
19 half of patients. Of course, none of our
20 patients had HIV infection.

21 (Slide.)

22 I shall go further in the clinical aspects
23 in the second part of this morning. The main point
24 was that all these people were found to have a very
25 unusual lesion at the muscle biopsy that included
26 large infiltrates of these blue cells at the margin
27 of the muscle tissue. Here you can see muscle cells

1 and here you have the fascia and you see that the
2 collection is restricted to the border of the muscle
3 fascial.

4 (Slide.)

5 At higher magnification you can see here
6 that the muscle cells in pink here are surrounded by
7 this blue -- large blue cells that infiltrate the
8 connective tissue but that do not address muscle
9 fibers. You can see that these fibers may be smaller
10 but are not attacked by the infiltrates.

11 (Slide.)

12 These infiltrates at the border of the
13 muscle were macrophages as assessed by
14 immunocytochemistry that is showed here, CD68 marker,
15 which is very specific of macrophages was positive
16 and they do not meet the criteria for dendritic
17 cells.

18 (Slide.)

19 Other inflammatory cells were also observed
20 and these cells were mainly CD8 T cells that were
21 intermingled with the macrophagic infiltrate in the
22 muscle tissue.

23 (Slide.)

24 When inflammatory myopathy is observed it is
25 useful to perform a marker for MHC-1 molecule
26 expression because expression of MHC-1 molecule by
27 muscle fibers is most specific of polymyocytis.

1 In MMF, as you can see here, there were some
2 muscle fibers that expressed MHC-1 molecule, which is
3 not the case normally, but these positive cells were
4 restricted to the close vicinity of the infiltrate.
5 The infiltrate itself was MHC-1 positive and on those
6 muscle fibers close to the infiltrate were also
7 positive. On the remote form of the infiltrate, as
8 you can see here, muscle fibers were negative. So
9 the picture was not one of polymyocytis.

10 (Slide.)

11 Another intriguing finding was at the EM
12 level in the 14 first patients we had the opportunity
13 to detect macrophages filled with curious
14 osteophillic inclusions that we first believed to be
15 calcium phosphate deposits.

16 But here at higher magnification you have
17 these fibrous crystalline inclusions that look like -
18 - that are very similar to anoxia (?) hepatite
19 crystals but we were unable to achieve a positive
20 reaction for calcium stainings.

21 (Slide.)

22 And as you will see, this was the clue of
23 the etiology. As you can see here these inclusions
24 were frequently born (sic) by a membrane that was
25 probably of measles origin.

26 (Slide.)

1 This lesion has not been recorded in the
2 muscle pathology literature. Old textbooks did not
3 mention this entity and all myopathologists in France
4 and elsewhere in the world, including all the
5 brilliant myopathologists in the U.S.A. were not
6 familiar with this lesion. And all the differential
7 diagnosis could be excluded easily, including
8 granulomatous myositis, which is the one -- the
9 myositis which is associated with sarcoidosis, and it
10 is very important to understand that these lesions
11 were not of the sarcoidoid type.

12 (Slide.)

13 And, finally, we had the idea that clinical
14 symptoms were not too severe in most people because
15 combinations -- empirical combinations of antibiotic
16 therapy on steroids gave finally good results in
17 majority of patients. About 80 percent of patients
18 with MMF lesions in their muscle respond quite well
19 to steroids.

20 (Slide.)

21 At this moment we believed we were facing a
22 new emerging infectious disease and we tried to find
23 arguments for this by an epidemiological survey that
24 was performed by the French government. What was
25 found was the following:

26 There was an intriguing high number of MMF
27 patients that worked at hospital, mainly nurses and

1 health assistants. There were also a number of
2 people that used to travel a lot in foreign
3 countries, including Africa, several European
4 countries and Asia. And another and still
5 unexplained finding, a lot of these people were
6 affiliated to sport federation. This is 58 patients,
7 which is a lot with regard with the general adult
8 population in France.

9 (Slide.)

10 The remaining of the epidemiological survey
11 failed to find anything consistent with an
12 environmental cause. Housing gave no information.
13 Urban and rural distribution of patients was
14 balanced. House or flat habitation was also
15 balanced. There was possibly something intriguing in
16 the geographical distribution of these patients since
17 the western part of France and the Paris area
18 appeared to be really over represented among
19 individuals. Finally, all of the research to find
20 food, water, place of purchase of food, animals,
21 hobbies, chemicals and x-rays gave negative results.

22 (Slide.)

23 The light came from the fact that we were
24 unable to achieve calcium staining in these people
25 despite the presence of calcium-like crystals in the
26 muscle and we tried to assess the prevalence of these
27 inclusions in these people by studying 20 consecutive

1 patients for electron microscopy and we performed
2 electron microscopy in any material available.

3 You must know that when a muscle biopsy is
4 performed it is cut into three pieces. One for
5 frozen section, one for paraffin imbedding, and one
6 for electron microscopy. And among these 20 people,
7 only four of them had convenient infiltrates in the
8 EM material and the other one had it in the paraffin
9 section. So we de-paraffinized the paraffin section
10 to go to the EM study.

11 And according to this procedure we found
12 that 100 percent of these people had the typical
13 inclusions. So the inclusions were the hallmark of
14 the disease.

15 We did not believe it when they -- when
16 information came from the biophysics department
17 telling us that the small piece of muscle biopsy we
18 provided them for analytical study contained aluminum
19 instead of calcium but it was the fact and we got the
20 information in late October 1998.

21 This was achieved by two types of
22 microanalysis, x-ray microanalysis and ionic (?)
23 analysis.

24 (Slide.)

25 Here is an x-ray microanalysis I am not very
26 familiar with but in this technique there are x-rays
27 that are given to infrastructural points. Here are

1 the inclusions and the spectrum is assessed and gave
2 and aluminum peak together with other peaks that were
3 a couple, osmium, chloride, oxygen and carbon that
4 all belong to the EM procedure. The grids for the EM
5 examination are made of couple and the EM preparation
6 of the sample includes osmium fixation.

7 (Slide.)

8 This was the case in all cases we studied.
9 Here the aluminum peak and this was confirmed by
10 another analytical study that arose to make a map of
11 the distribution. Here you have a muscle biopsy, it
12 was stained with the macrophage infiltrate here, and
13 as you can see here the muscle fiber is negative but
14 the infiltrate -- macrophage infiltrate is filled
15 with aluminum.

16 (Slide.)

17 And, finally, we confirmed these analytical
18 techniques by atomic absorption spectrometry. We
19 took muscle biopsy from MMF patients in dividing the
20 preparation in those part of the muscle biopsy
21 sample, which included the macrophagic lesion and
22 those parts that did not include the macrophage
23 infiltrate, and we compared it to normal.

24 And as you see here, the aluminum content
25 was very high into the MMF infiltrate. It was high
26 enough remote from the infiltrate and it was very low
27 in normal controls.

1 A most intriguing finding was that in 20
 2 tested patients the circulating levels of aluminum
 3 were strictly normal and this led us to the
 4 conclusion that finally these people might have local
 5 accumulation of aluminum instead of systemic aluminum
 6 intoxication.

7 (Slide.)

8 So we went back to the files and we first
 9 looked at the sites where infiltrates were observed.
 10 Many tissues on organs were investigated for
 11 macrophage infiltrates because the patients were
 12 first believed to have a sort of -- a kind of
 13 Whipple's disease and so especially the gut and the
 14 digestive tract was intensively examined.

15 As you can see here, none of the biopsy of
 16 the digestic tract was positive for macrophages and
 17 other sites were also examined without evidence of
 18 macrophage infiltrates. So the macrophage
 19 infiltrates were exclusively found in the muscle
 20 biopsy. And one look of the muscle biopsy it appears
 21 that it was constantly the deltoid muscle biopsy that
 22 contained the lesion and we were unable to find
 23 another site of biopsy giving -- providing the
 24 lesion.

25 (Slide.)

26 So light come to us when we assessed the
 27 serology of the 20 first cases. We found that

1 hepatitis B, viral serologic profile was observed in
2 65 of these people which is more -- much more than
3 the 20 percent of people with such a profile in the
4 general adult population in France.

5 There were also 25 people with positive
6 antitetanus toxoid antibodies and it was clearly
7 related to vaccination because nobody in France at
8 present develops true tetanus infection.

9 And, finally, there were also patients with
10 HIV antibodies with avidity of the antibodies that
11 fit well with recent vaccination.

12 And, finally, 100 percent of our patients
13 had not the antigens for HBV, tetanus toxoid or HIV.
14 It was really certain that all HBV positivities were
15 related to vaccination as well as all tetanus --
16 antitetanus antibodies.

17 (Slide.)

18 So at this moment we performed a large
19 retrospective analysis of the history of the patient.
20 Two teams were working. One from the French
21 government and one by the doctors of the three
22 neuropathologic myologic centers that included
23 patients. We came to the same evidence.

24 All fifty patients that were reevaluated had
25 been vaccinated or immunized with an aluminum
26 containing vaccine. As you can see here, hepatitis B

1 was the most frequent one, hepatitis A was less
2 frequent, and tetanus was frequent.

3 The number of doses per case was not
4 abnormally high and the median value was four
5 injections. And most important information was that
6 the delay from the last immunization and the muscle
7 biopsy runs from three months to eight years and the
8 date -- no, the time of vaccination was assessed in
9 all these people or almost all of these people on
10 vaccination booklets so we are sure of the time of
11 immunization and, of course, we are sure of the time
12 of muscle biopsy. So many patients have more than
13 five year delay from the last immunization to the
14 biopsy and the median was 36 months.

15 (Slide.)

16 When we looked at the type of vaccines we
17 found that there was balanced distribution between
18 the two main hepatitis B virus vaccines that are
19 available in France, the Engerix and the GenHevac B.
20 And the Hb vax, which is the equivalent of the vax
21 used in the U.S.A., was never found but it is
22 virtually not available in France so this means
23 nothing.

24 For tetanus vaccines we, of course,
25 considered exclusively those vaccines that contain
26 aluminum, which is the majority of TT vaccines but

1 not all of them. And here again the Tetavax and the
2 others were both implicated.

3 (Slide.)

4 At this point we attempted to reproduce the
5 lesions in animals. I go quickly because Francois
6 Verdier will speak of this in a minute. And we
7 injected Sprague-Dawley rats IM with 250 microliters
8 of GenHeVac vaccine and we observed the lesion at
9 days seven, 14, 21 and 28 post vaccination.

10 (Slide.)

11 And as you can see here, at day 28 a lesion
12 that was very similar to that observed in humans
13 developed in these animals at the vicinity of the
14 muscle. There were collections, large collections of
15 macrophages filled with finely granular vasophilic
16 content, which was also PS positive.

17 (Slide.)

18 And at EM we found the same spicules fibrous
19 structures into the macrophages.

20 (Slide.)

21 And at this point we came to the final
22 evidence that the lesion of MMF was due to the
23 injection into the deltoid muscle of aluminum
24 containing vaccines. So this is the end of the first
25 part of the story.

26 (Applause.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25
26

DR. CENTENO: Thank you, Dr. Gherardi, for a very interesting talk. This talk is open for questions.

Yes, please. Can you use the microphone?

DR. ALVING: Carl Alving, Walter Reed.

It is very, very interesting. I have two questions. One is have you done electron microscopic studies on controls who did not get MMF but who did get injections?

DR. GHERARDI: Aluminum crystals were exclusively found in two macrophages. They were never found outside cells. And so in people undergoing deltoid muscle biopsy who had been vaccinated, we have a lot of course, without the lesion, there was no reason to look at aluminum crystals because the macrophage cells were not visible at the right macroscopic level. So maybe it could be useful to address the question of possible aluminum residues but it would be done more accurately by aluminum content evaluation than by morphology.

DR. ALVING: The second is maybe you had it but I missed it but what were the studies on the formed elements of the blood, like red cells, platelets and white cells, polys and so forth? Were there any changes in those compared to normal?

1 DR. GHERARDI: The white blood cell count
2 and the red blood cell count was normal with few
3 exceptions in which a slight increase of monocytes or
4 a slight decrease of lymphocytes was observed.

5 DR. TODD: Charles Todd, CDC.

6 In your experimental work you used aluminum
7 hydroxide and the other EM pictures that you showed
8 would be consistent with the morphology of that.

9 DR. GHERARDI: Yes.

10 DR. TODD: Did you see -- do you use
11 aluminum phosphate adjuvanted vaccines in France and
12 is there potentially a difference between aluminum
13 phosphate and aluminum hydroxide?

14 DR. GHERARDI: At the moment there is no
15 aluminum phosphate containing vaccine available in
16 France so I made no comparison.

17 DR. RENNELS: A clinical question. The
18 symptoms that you describe these patients having had
19 are really very nonspecific, very subjective, and the
20 fact that they seem to respond to antibiotics and to
21 steroids leads me at this point unconvinced that this
22 is associated with a definite clinical entity. Do
23 you have further clinical studies planned?

24 DR. GHERARDI: Yes. The second part of the
25 session will be entirely dedicated to the clinical
26 features.

27 DR. GERBER: Gerber, NIH.

1 This is somewhat related. You said that
2 most of the patients responded to steroids. I
3 wonder did you have repeat biopsies on any of those
4 patients and, if so, what did they show?

5 DR. GHERARDI: Yes. One biopsy was
6 performed on the opposite deltoid elsewhere in the
7 body, macrophage infiltrates were not observed, but
8 when people were rebiopsied at the same site, the
9 infiltrates were retrieved.

10 DR. GERBER: Were what?

11 DR. GHERARDI: Were found again.

12 DR. GERBER: Even though the patient had
13 responded clinically?

14 DR. GHERARDI: Yes.

15 DR. CLEMENTS: John Clements, WHO.

16 Just two little points to clarify. You had
17 a spectrum of ages, infants through to adults, who
18 were --

19 DR. GHERARDI: Yes. I gave yesterday to
20 Martin sheets of paper summarizing all the data and
21 if you want precise age, precise age range and so on,
22 everything is in these data. So if your question is
23 whether adults or children, this is it, I can tell
24 you.

25 DR. CLEMENTS: I am just asking
26 confirmation. There were adults and infants?

1 DR. GHERARDI: No, there were mainly adults.
2 Among the 50 first patients we have two children and
3 48 adults with a range from 30 to 55 years being the
4 most important part of the group.

5 DR. CLEMENTS: And can you just clarify for
6 me how these patients presented? Were they clearly
7 ill patients who came to the doctors because they had
8 some fairly major symptoms?

9 DR. GHERARDI: Yes. I prefer to put this in
10 the second part of the session. Of course, they had
11 biopsy because they had the muscle problems, of
12 course, and they had myalgia and unvalidating
13 fatigues that led them to accept muscle biopsy.

14 DR. BRENNER: Alan Brenner, Boston
15 University, DVIC.

16 Are you familiar, Dr. Gherardi, with a paper
17 written by Robert Morak in 1982?

18 DR. GHERARDI: Yes. I detected it very
19 recently and I come to the same conclusion that he
20 did that the lesions are due to the vaccines but as
21 far as I remember it was a very small baby of six
22 months.

23 DR. BRENNER: Yes, sir. Eight months.

24 DR. GHERARDI: And he was supposed to have a
25 congenital myopathy and there was probably an
26 unrelated cause of congenital -- true congenital
27 myopathy with vaccines that had been performed in the

1 thigh as usual in babies. But I agree with him that
2 the lesion is due to aluminum containing vaccine, of
3 course.

4 DR. BRENNER: Are you aware, sir, that even
5 in 1982 he had done the same Sprague-Dawley rat
6 experiments?

7 DR. GHERARDI: Sure, exactly. I detected
8 this paper two months ago. It is very difficult to
9 retrieve but finally I found it.

10 DR. BRENNER: Right. Also, there are a
11 number of articles in the literature about the
12 development of granulomatous and histiocytic sheet
13 like reactions to aluminum containing vaccines and
14 some of the difference, I think, between the
15 granulomatous reactions and the histiocytic sheet
16 like reactions, which you have seen and which he saw
17 in his eight month old baby may be more time related
18 than anything else because some of these experimental
19 studies followed animals over a period of time and
20 early on there were true granulomatous foreign body
21 looking reactions that converted to more histiocytic
22 chronic reactions later.

23 Also, I have a question for you. Do you
24 have any information on the time span between
25 vaccination and onset of clinical symptoms?

26 DR. GHERARDI: Yes. This will be in the
27 second part of the presentation.

1 DR. BRENNER: Thank you.

2 DR. GELLIN: Bruce Gellin from Vanderbilt
3 University.

4 A pathophysiologic question. You showed
5 that not all the patients had elevated CPK's. I
6 thought you demonstrated that this was going on
7 outside of the muscle cell. Therefore, why would
8 anybody -- why would you get any CPK involved even in
9 those?

10 DR. GHERARDI: I do not know. I do not know
11 but you must know that the counterpart of increased
12 CK levels is absolutely unclear because you can have
13 leakage of CK in the muscle cells that appear
14 virtually normal by optic microscopy.

15 DR. BRAUN: Miles Braun, FDA.

16 The vaccines that you described with
17 aluminum being injected in adults and their having
18 this problem that you are linking to it, presumably
19 they had aluminum containing vaccines earlier. For
20 example, tetanus in their lives. What did they --
21 were they asked about what their experiences were in
22 the past with aluminum containing vaccines?

23 DR. GHERARDI: About clinical symptoms? We
24 shall speak of clinical symptoms in a minute but as
25 you saw there was not a strict correlation between
26 hepatitis B virus and detection of the lesion and it
27 is also the case for the clinical symptoms, and the

1 lesion appeared really due to aluminum containing
2 vaccines that included mainly hepatitis B virus
3 vaccine but also some patients that we are sure were
4 vaccinated with tetanus toxoid only.

5 So concerning the lesion, the lesion can be
6 induced by any aluminum containing vaccine with or
7 without hepatitis B virus antigen in it.

8 DR. BRAUN: Let me rephrase that and to give
9 an example if you had say a 40 year old health care
10 worker who got hepatitis B vaccine and then was
11 diagnosed with this problem.

12 There must have been among those some or
13 maybe even a majority of them who say got tetanus --
14 aluminum containing vaccines prior to that when they
15 were ten years old or younger and so they --
16 presumably some of them or maybe many of them have
17 had exposures in the same way with aluminum
18 containing products.

19 DR. GHERARDI: Yes.

20 DR. BRAUN: And, you know, what was the
21 experience?

22 DR. GHERARDI: There are two -- finally two
23 questions. Aluminum containing vaccines are used
24 from the 20's and it is very surprising that they
25 were detected from '93 only in France, and this is
26 very unclear to me why is it the case because we used
27 to perform deltoid muscle biopsy for 100 years in

1 France and we detected the first case in '93. So
2 this is a problem. I have maybe two explanations to
3 answer this.

4 First is that the vaccination program for
5 hepatitis B virus reached levels that were never
6 achieved previously in France in adults.

7 You must know that 17 million doses of
8 hepatitis B virus vaccine have been provided in the
9 '90s in France and our population is 60 million
10 people. So there was a very, very strong and very
11 large immunization program in adults, which is a very
12 new thing, and probably the MMF story is a marginal
13 problem affecting, you see, less than 100 persons
14 among millions of people that have been vaccinated.

15 So possibly it was necessary to have a
16 huge number of patients vaccinated to have by chance
17 the lesion at the muscle biopsy retrieved.

18 And, second, as to whether people immunized
19 previously with aluminum containing vaccine, whether
20 they had or not symptoms related to that, I would say
21 that we have not this feeling and that as you saw,
22 our patients were mainly adults, and we have -- we
23 had very little -- a very little number of kids.

24 And in France, as in other countries, kids
25 are extensively vaccinated so it appears that the
26 symptoms that lead to the muscle biopsy are usually

1 occurring in adult age and do not occur in youngest
2 people.

3 So it is not excluded that the same persons,
4 individuals that are vaccinated early in their lives
5 do not develop anything, and when vaccinated for
6 another antigen at adulthood developed symptoms that
7 I will speak about in a minute.

8 DR. CENTENO: This should be the last
9 question before we move to the next talk.

10 DR. CASERTA: Vito Caserta, Vaccine Injury
11 Compensation Program.

12 Dr. Gherardi, have you done or plan to do
13 biopsies on normal people without myalgia and
14 arthralgia who receive aluminum vaccines to see if
15 the same accumulation of aluminum occurs in
16 macrophages in people who are not ill?

17 DR. GHERARDI: This is a very important
18 point. Unfortunately, it is very difficult and an
19 unethical point of view to propose this in France at
20 the moment.

21 Healthy individuals vaccinated, I am
22 absolutely sure that it will be impossible to perform
23 surgical muscle biopsy in these individuals.

24 What I can say is that we started a
25 prospective study in my lab from the beginning of the
26 year studying all patients undergoing a deltoid
27 muscle biopsy for any reason who have been vaccinated

1 and we collected 40 individuals vaccinated for
2 hepatitis B virus in the same times as the MMF
3 patients I presented who had no lesions in their
4 deltoid muscle in the non-dominant arm because we use
5 to perform this in the non-dominant arm as
6 practitioner use to perform the immunization
7 injection.

8 So it is the only thing I can say. All
9 people vaccinated do not have evidence of the
10 granuloma in their deltoid muscle biopsy.

11 DR. CENTENO: Again thank you, Dr. Gherardi,
12 for a very interesting talk.

13 (Applause.)

14 DR. CENTENO: The next presentation of this
15 morning is going to be by Dr. Verdier and Dr. Verdier
16 is going to talk about the nonclinical studies.

17 Dr. Verdier?

18 NON CLINICAL SAFETY STUDIES WITH ALUMINUM

19 HYDROXIDE: EXISTING ANIMAL STUDIES

20 AND FUTURE PROTOCOLS

21 FRANCOIS VERDIER

22 DR. VERDIER: Thank you, Mr. Chairman.

23 Thank you, Dr. Myers, for this invitation.

24 During the next 30 minutes we will try to
25 see if existing animal studies and possibly future
26 protocols can help us to explain this MMF issue and

1 confirm or not the link or potential link between
2 these lesions and the aluminum hydroxide.

3 (Slide.)

4 So I will divide my talk in two parts. In
5 the first part we will see if current animal data can
6 give us some clue, some explanation regarding this
7 macrophagic myofascitis. And in the second part of
8 my talk I will share with you some protocols that we
9 intend to perform in order to explain the potential
10 link and to confirm or not some of the hypothesis
11 related to the MMF issue.

12 (Slide.)

13 In order to better define the outcomes of
14 these experimental studies I have tried to summarize
15 the MMF issue and to clearly define the different
16 entities involved in this problem.

17 First, I have identified two distant things.
18 One is the aluminum contained in the vaccines,
19 aluminum hydroxide or aluminum phosphate, as an
20 adjuvant. And the potential link between this
21 aluminum and the local histopathological reaction as
22 it was described by Dr. Gherardi.

23 So the first hypothesis is could aluminum
24 hydroxide as the vaccine adjuvant trigger a focal but
25 persistent inflammatory reaction, a very local
26 reaction in the muscle.

1 Then, and I clearly make a distinction
2 between this first link and the second link. The
3 second link is the possible relationship between this
4 local reaction and the systemic disease, which will
5 be probably better described in the next talk by Dr.
6 Gherardi.

7 (Slide.)

8 And the hypothesis is could this local
9 reaction evolve in a systemic muscular disease with
10 myalgia, with marked fatigue.

11 There is also a third way to consider the
12 situation. Instead of starting from the local
13 reaction, instead of starting from the vaccine
14 injection, we can start from the systemic disease
15 with the following hypothesis: Can idiopathic
16 disease -- an existing disease could lead to the MMF
17 reaction? So this systemic disease would exist de
18 novo or preexisting before the vaccine injection.

19 (Slide.)

20 So, first, we will look at some existing
21 animal data and I will present some data from a local
22 tolerance study performed in rabbits using the IM
23 route, using the intramuscular route, and with
24 aluminum hydroxide.

25 In fact, the purpose of this study was not
26 to study the MMF problem. The purpose of this study
27 was to compare values, adjuvants and performing a

1 local tolerance study as it is requested by
2 regulatory guidelines.

3 (Slide.)

4 So for this study we used 20 rabbits per
5 groups. We had two groups. One receiving the
6 adjuvant alone and another group receiving vaccine
7 adjuvanted with aluminum hydroxide. The dose used
8 was quite a high dose. It was one human dose per
9 injection and we did four injection sites per rabbit.

10 And several necropsy time points were
11 performed, some very rapidly after the injection. I
12 mean, two days, seven days after the injection, and
13 the last time points were performed 90 days after the
14 single injection.

15 (Slide.)

16 The parameters evaluated in this study was
17 toxicological parameters but today we will focus
18 mainly on the examination of the injection site and I
19 will show you some staining similar to the technique
20 used by Dr. Gherardi on human samples. I will show
21 you some staining and also some immunohistochemistry
22 staining.

23 (Slide.)

24 We will try to shift to some slides and just
25 to explain that I will first show the slides with the
26 adjuvant alone and then with the adjuvant plus the
27 vaccine. I have selected three time points, three

1 key time points. The first time point after
2 injection. I mean, two days after the injection.
3 Eight days after the injection and 90 days after the
4 injection.

5 (Slide.)

6 Okay. So this is a picture obtained with
7 the adjuvant alone two days after the injection and
8 you can already see this exogenous deposit between
9 the muscular fibers. It is a sort of amorphous gel
10 which is between these intact muscular fibers. There
11 are not a lot of cells in it at this stage.

12 (Slide.)

13 This is a lower magnification and you can
14 see here the muscular fibers.

15 (Slide.)

16 This is now with an adjuvated vaccine. You
17 can still see the deposits here between the muscular
18 fibers but with already more cell infiltrations.

19 (Slide.)

20 This is the same time point but with a
21 higher magnification with -- you can still here the
22 exogenous deposit with already beginning of cell
23 infiltration, mainly polymorphonuclear cells.

24 (Slide.)

25 This is two days after the injection. Now
26 we will go --

27 (Slide.)

1 -- to eight days after the injection. The
2 first set of slides with the adjuvant alone and you
3 can still see this deposit but now we have a
4 macrophagic reabsorption of this deposit. A lot of
5 macrophages are cleaning this deposit but still we
6 have the intact muscular fibers.

7 (Slide.)

8 Higher magnification. You can see all these
9 macrophages cleaning the deposit.

10 (Slide.)

11 The same time point, adjuvant alone. All
12 these large macrophages.

13 (Slide.)

14 And now a big difference. This is the
15 adjuvated vaccine. And we have still the exogenous
16 deposit. We have perhaps some fibroblasts here and
17 we have no clear inflammation reaction with
18 infiltration between the muscular fibers.

19 (Slide.)

20 So there is a big and marked difference
21 between the adjuvant alone and now the picture
22 obtained with the adjuvated vaccine. And you can see
23 that this kind of picture is in some point close to
24 the picture shown by Dr. Gherardi before but here we
25 do not have only macrophages. We have
26 polymorphonuclear cells, lymphocytes and histiocyte.

27 (Slide.)

1 That is a higher magnification and you can
2 see that it is a mixed cell infiltration with various
3 cell types.

4 (Slide.)

5 Now I will go to the last time point, 90
6 days after the single injection.

7 (Slide.)

8 With the adjuvant alone we were able to
9 still see some macrophages continuing the
10 reabsorption of the deposit so it is 90 days after
11 the single injection and we have this large giant
12 cell -- giant macrophage cleaning the deposit.

13 And, interestingly, if now we compare with
14 the adjuvated vaccine, we have a picture. No more
15 inflammation reaction between the muscular fibers, no
16 cell infiltration but still in some of the injection
17 sites, not in all injection sites we have this
18 macrophagic reabsorption that we can observe.

19 (Slide.)

20 Briefly, I will show you some of the
21 immunohistochemistry staining. This is a CD68
22 staining and you can see here that we have a positive
23 staining in the macrophagic -- of the macrophage for
24 the adjuvant alone.

25 (Slide.)

26 It is perhaps better here with a higher
27 magnification. So we have with CD68 staining similar

1 to the human situation but this is with the adjuvant
2 alone and it is only limited to this deposit.

3 (Slide.)

4 With the adjuvated vaccine we have also a
5 CD68 staining so there are some macrophages in this
6 cell infiltration.

7 So now we will try to go back perhaps to --

8 (Slide.)

9 Could we perhaps just reduce a little bit?

10 Okay.

11 So to summarize these data we can see that
12 there is two clear. The picture obtained with the
13 adjuvant alone, and this is mainly a macrophagic
14 reaction with different stage, and the picture
15 obtained with the adjuvated vaccine, and in this case
16 we have a multi-steps reaction with first some
17 polymorphonuclear infiltration and then a mixed
18 reaction with also some lymphocytes and some
19 histiocyte, and then it is only 90 days after the
20 injection when we can compare the two reactions and
21 in this case we have some few sites with macrophagic
22 reabsorption.

23 (Slide.)

24 So at the conclusion to these existing
25 animal data we can see that there is a clear
26 difference between the reaction observed with the
27 adjuvant alone and the reaction observed with the

1 adjuvant plus the antigens. So this indicates that,
2 in the first, we have to consider the combination of
3 the adjuvant plus the antigen. And, also, we have
4 only a partial reversibility of the reaction. We are
5 still able to detect some macrophages in some
6 injection sites 90 days after the injection. And
7 fortunately we do not have the time to perform some
8 electronic microscoping to see if there are also some
9 still aluminum hydroxide spicules in these
10 macrophages.

11 (Slide.)

12 So we have mainly the inflammation -- the
13 inflammatory picture is mainly observed a few weeks
14 after the injection but it is not exactly a true MMF
15 situation. The inflammation is mainly marked between
16 the muscular fibers and not only in the muscle
17 fascia, and also it is not only macrophage
18 inflammation. We have several cell types.

19 Three months after the injection, we have
20 only some remaining macrophage but without cell
21 infiltration as it was noted in the human biopsy.

22 (Slide.)

23 So at the conclusion from these animal
24 studies we can say that the adjuvated vaccine can
25 trigger an inflammation reaction which is close to an
26 MMF picture but not identical.

27 (Slide.)

1 So now in the second part of my presentation
2 I will share with you some protocols designed to
3 confirm or not the hypothesis presented at the
4 beginning of my talk.

5 (Slide.)

6 We propose to do two kinds of experiments.
7 One is to evaluate the kinetics of the aluminum salt
8 in the muscle of laboratory animals.

9 (Slide.)

10 The purpose of this first experiment is
11 mainly to extend the vary interesting work presented
12 yesterday by Dr. Hem and Dr. Flarend. As I mentioned
13 yesterday, we do not have exactly the clearance of
14 the aluminum in the injection site. We do not have
15 the muscle content several weeks after intramuscular
16 injection. And we need as for all components of a
17 new -- of a pharmaceutical, we need to document the
18 pharmacokinetics of the aluminum at the injection
19 site.

20 (Slide.)

21 We propose to use ICPMS technic to measure
22 the aluminum content. It is perhaps not as clean as
23 the aluminum 26 technique but it is probably easier.
24 And we want also to use different dose label and
25 probably a dose label lower than one human dose per
26 animal because it could be more relevant to compare a

1 small dose labeled in a small muscle rather than a
2 huge human dose in a small animal muscle.

3 We are still thinking about analyzing all
4 the muscles or only the aluminum content in the
5 lesion area.

6 (Slide.)

7 The other study is an in vitro study in
8 order to document the macrophage reaction, the
9 reaction of human macrophages exposed to aluminum
10 salt.

11 (Slide.)

12 And this study will be divided in two parts.
13 In the first part we have decided to select some
14 relevant endpoints in order to evaluate the
15 phagocytic and the oxidative activity of the
16 macrophages. Also, we will screen various markers
17 and various cytokines or cytokine receptors.

18 (Slide.)

19 This work is a multi-lab collaborative work.
20 The expert of this work is Dr. Anne Cecile Rimaniol
21 who is working with CEA near Paris. And the GERM MAD
22 group will provide us some samples from MMF patients
23 in order to study these macrophages. And, also, it
24 is a collaboration with Aventis Pasteur.

25 (Slide.)

26 The method used will -- can be divided in
27 these three steps. We will collect blood monocytes

1 from human people and we will start a culture of
2 these cells in order to get macrophages --
3 differentiated macrophages after seven days of
4 culture. And then after roughly ten days of culture
5 we will be able to expose these macrophages to
6 various adjuvants for various durations.

7 (Slide.)

8 The parameters which will be screened in
9 this first phase are as follows: We will investigate
10 the phagocytic activity using a phalloidin -- a
11 labeled phalloidin. We will measure the oxidative
12 burst by glutathione assay in the macrophages.

13 (Slide.)

14 As I mentioned before, we will also perform
15 using a flow cytometry apparatus various membrane
16 marker evaluation, particularly the transferrin
17 receptor, which is involved in the aluminum
18 transport, and also some activation marker and some
19 phagocytosis receptors.

20 (Slide.)

21 Also, we will measure cytokine release in
22 the supernatant of the cell culture. Particularly we
23 are interested, and I do not know if Dr. Gherardi --
24 Romain Gherardi will speak about IL-1 and IL-1
25 receptor because these cytokine and cytokine
26 receptors have been found in some MMF patients. So
27 we want to try to correlate some of the clinical

1 findings to these in vitro experiments.

2 (Slide.)

3 So then we will be able from this first
4 phase to select some relevant endpoints and using
5 only these relevant endpoints we will compare the
6 reaction of aluminum hydroxide versus aluminum
7 phosphate on these macrophages in vitro. We will
8 also compare the reaction of the macrophages in
9 contact with aluminum adjuvant alone or aluminum plus
10 the vaccine.

11 And probably the more interesting part of
12 this study will be to compare the reaction of the
13 macrophages obtained either from healthy donors or
14 from MMF patients. And the GERM MAD group will
15 supply sample from approximately 30 MMF patients.

16 (Slide.)

17 So this study is scheduled to start during
18 the next weeks and we plan to do this during
19 approximately one year.

20 (Slide.)

21 So as a conclusion you can see that the
22 existing animal data and also the future protocol
23 will be not able to definitively solve this MMF
24 issue. It is a complex mechanism. I think that it
25 is only by having not only the in vitro study
26 results, also perhaps some pharmacokinetics data from
27 aluminum adjuvated vaccine.

1 And also one point that I have not presented
2 today, some data from epidemiological studies that we
3 will be able to give a conclusion or some
4 explanations to this MMF issue.

5 Thank you very much.

6 (Applause.)

7 DR. CENTENO: Thank you, Dr. Verdier.

8 This paper is open for questions.

9 DR. GHERARDI: One very important point of
10 the study of Dr. Verdier is that 14 of the 16
11 injected sites in the rabbit were free of macrophages
12 at day 91. I am true?

13 DR. VERDIER: Yes. Only two among 16. We
14 were able to find some macrophages only two among 16
15 sites investigated after 90 days.

16 DR. GHERARDI: Okay. This is a very
17 important point because the residence time of the
18 lesion at present is unknown in humans and even in
19 animals. So if this is substantiated in the future
20 this will be a very important issue because the
21 question is, is it normal to get the lesion into the
22 muscle after vaccination. I should say yes, early
23 after vaccination but probably not remote from the
24 vaccination time.

25 What will be most important to determine is
26 the time after which it becomes un-normal (sic) to
27 have a persistent lesion in the muscle.

1 DR. VERDIER: Just a comment. There is
2 perhaps a difficulty to detect a lesion a long time
3 after the injection, particularly in animals and
4 perhaps even more difficult in humans because we do
5 not know exactly if we have investigated -- if we
6 have looked exactly at the injection site.

7 The muscle is not -- we cannot exactly
8 identify the injection site several months after the
9 injection.

10 DR. GHERARDI: There is a problem of
11 sampling, of course, but you have not such a problem
12 at day 21 or so on. At day 21 you have 100 percent
13 of the cells that are positive for macrophages.

14 DR. GARCON-JOHNSON: Nathalie Garcon-
15 Johnson, SmithKline Beecham. I have two questions
16 actually. From the data that I have seen in human
17 and from the suggestion we hear so far, I mean there
18 is a possibility that the effect you are seeing could
19 be a cumulative one. So my question is in your study
20 did you do any dose ranging of aluminum or just you
21 injected a bolus of aluminum in the animals and
22 looked at the effect?

23 DR. VERDIER: No, we did not test several
24 dose levels. We only tested the one human dose per
25 injection and one single injection. We did not do
26 repeated administration. We have other data that I
27 did not present today in other animal species. In

1 I have only investigated the reaction from some days
2 after the injection to two weeks after the injection.
3 I did not go up to three months with emulsion so I
4 cannot really compare both adjuvants.

5 DR. BRENNER: I would make just a couple of
6 comments. Number one, several years ago -- I think
7 again it was in 1982 -- a study was done comparing
8 alum precipitated tetanus toxoid and alum alone
9 showing the presence of alum in macrophages in a
10 small infiltrate at 20 weeks.

11 The second thing is that there have been
12 studies done comparing multiple adjuvants in the
13 past, including mineral oil, which is far more toxic
14 than any of the alum -- either absorption adjuvants
15 or precipitating adjuvants.

16 So I was just wondering if these things are
17 not just -- are we looking at a local irritation?
18 Are we looking at inflammatory process? Are we
19 looking at immunoinflammatory process?

20 DR. VERDIER: It seems that with the
21 adjuvated vaccine we have not only an inflammatory
22 reaction because we have lymphocyte infiltrations so
23 I think it is a -- I do not know if it is a good word
24 or not. It is an immunoinflammatory process because
25 we have the implication of lymphocyte.

26 DR. BRENNER: My question -- my thought is
27 this: Are we looking at a necessary part of vaccine

1 response? In other words, if aluminum compounds
2 alone can elicit an infiltrative process for a short
3 period of time that looks very similar to the lesion
4 that we see in MMF and a much longer lesion and a
5 much more intense lesion when the actual antigen is
6 added, isn't this really just part of what needs to
7 happen in order to mount an antibody response to the
8 antigen itself?

9 DR. VERDIER: I fully agree with you.

10 DR. BRENNER: And if that is true shouldn't
11 this be occurring in everybody who gets vaccine?

12 DR. VERDIER: We have been able to reproduce
13 this inflammatory reaction in all rabbits so we can
14 expect that in all humans vaccinated with an aluminum
15 adjuvated vaccine we will observe this
16 immunoinflammatory reaction a few weeks after the
17 injection. We expect to have this inflammatory
18 reaction.

19 DR. BRENNER: Right. Then why call it an
20 illness? If this is an expected -- that is my only
21 point. If this is an expected response, if this is
22 what is supposed to happen, how do we correlate it
23 all of a sudden with a clinical syndrome?

24 DR. VERDIER: That is why I started my
25 presentation with a clear distinction between the MMF
26 as a local reaction, MMF macrophagic myofascitis is a
27 name given to a histopathological picture, and then

1 there is another entity, which is the clinical
2 symptoms, and I think that in the discussion we need
3 to have today is clearly to analyze the potential
4 link between the adjuvated vaccine and the local
5 histopathological reaction, which is not an illness,
6 and the other hypothetical link between this picture
7 in the muscle and the clinical symptoms. But it is
8 clear -- in my mind I make a distinction between the
9 two hypothesis as I presented in the beginning of my
10 presentation.

11 DR. BRENNER: Thank you.

12 DR. PERCY: I am Maire Percy from the
13 University of Toronto. I have a question about your
14 proposed human studies.

15 DR. VERDIER: Yes.

16 DR. PERCY: Are you planning to look at
17 genetic markers in your controls and MMF cases or
18 not?

19 DR. VERDIER: No, but I would be very
20 interested if you have suggestions.

21 DR. PERCY: I mean, I am particularly -- I
22 am wondering if it would be worthwhile looking at
23 markers of a hereditary hemochromatosis mutation
24 because these greatly increase the sort of transfer
25 of iron into cells and via transferrin and
26 transferrin receptors.

27 DR. VERDIER: Yes.

1 DR. PERCY: And aluminum also binds to
2 transferrin so I am just wondering whether there
3 might be some association.

4 DR. VERDIER: Yes.

5 DR. PERCY: Anyway I would love to hear
6 that.

7 DR. VERDIER: Yes, we would be perhaps
8 interested to do that particularly to see if with the
9 clinical symptoms we have a special background.

10 DR. PERCY: Yes. That is interesting.

11 Another thing I just wanted to mention, just
12 in my discussions with clinicians or clinicians at
13 the University of Toronto, I am aware of a couple of
14 bizarre cases where people have presented -- I do not
15 know if there is any relationship with MMF but a
16 patient has presented with something that was ALS-
17 like and the diagnosis that they ended up with
18 was transverse myelitis and it appeared to be
19 associated or it was exacerbated after, I think, a
20 flu shot. I do not know whether this had aluminum
21 in it or not.

22 DR. VERDIER: There are no aluminum in flu
23 vaccine.

24 DR. PERCY: Okay. Yes. But anyway -- but
25 the people -- a couple of people that had this had
26 sort of a chronic brucellosis infection. It may have
27 absolutely no relevance but it was -- but they

1 thought there was some sort of bizarre autoimmune
2 response that was connected with, you know, this
3 chronic infection and the immunization.

4 Anyway, I just thought I would mention that.

5 DR. VERDIER: Thank you.

6 DR. CENTENO: Two more very brief and quick
7 questions, please.

8 DR. KEITH: Sam Keith, ATSDR.

9 I was wondering if you have an idea of how
10 far this macrophagic action extends beyond the three-
11 dimensional point of the injection. I recall my
12 daughter got her last flu shot, the physician
13 injected, turned around and got a bandaid and fully
14 placed the bandaid at least two centimeters away from
15 the injection site so I can identify that it is very,
16 very difficult to identify where the precise
17 injection site is on the surface plus, you know, the
18 direction of the needle injection, where actually it
19 was injected into the muscle itself.

20 So when looking at healthy individuals that
21 have received injections, I think it may be useful to
22 understand how far this macrophagic action extends
23 beyond the three-dimensional point within the muscle
24 to see how closely one needs to understand and map
25 the location on the healthy humans that may be
26 studied.

1 DR. VERDIER: In this study we did not try
2 to look if we have lesions around the injection site.
3 We were -- it was the opposite. We were trying to
4 identify exactly the injection site to be able to
5 detect perhaps some remaining macrophages or
6 remaining inflammation. But I agree with you that it
7 would be interesting to perform one injection site
8 and to investigate how far from this injection site
9 we can still find some inflammation markers.

10 DR. HENDRICKX: Bernadette Hendrickx,
11 SmithKline Beecham. No question but an information.

12 We are performing a huge animal study where
13 we compare at long term up to one year follow-up ten
14 different groups and we compare placebo, we compare
15 the antigen, the adjuvanted antigen at different
16 dosages with the adjuvant and we compare also two
17 different adjuvants, hydroxide aluminum and phosphate
18 aluminum.

19 Obviously the results are not yet available
20 but we will have some interim reports and we will
21 inform as soon as possible.

22 DR. VERDIER: Thank you.

23 DR. HENDRICKX: Rats.

24 DR. CENTENO: Thank you. Thank you, Dr.
25 Verdier, for a very interesting talk.

26 (Applause.)

1 DR. CENTENO: We have come to the last talk
2 of this morning's session and it is going to be again
3 Dr. Gherardi with human data on MMF.

4 HUMAN CLINICAL DATA ON MMF

5 ROMAIN GHERARDI

6 DR. GHERARDI: So if I understand, everybody
7 is prepared to accept that the lesion is due to the
8 vaccine but could be reluctant to accept that these
9 people have a disease.

10 (Slide.)

11 We have the same problem and we tried to
12 addressed this question by designing a study with
13 three centers and we first tried to assess if the
14 prevalence of myalgia in people with MMF lesions were
15 similar or different from that of other patients
16 undergoing deltoid muscle biopsy without lesions.

17 So we collected patients from '93 to August
18 '99 and the data extraction was presence or absence
19 of MMF lesions and myalgias -- absence of myalgias
20 noted in the files, this is important, at time of
21 biopsy.

22 (Slide.)

23 Here are the results. Six patients were
24 observed from '93 to '96 and 40 from '97 to '99 in
25 these three participating centers. As you can see
26 here, myalgias were present in 85 percent of MMF

1 patients as assessed by the files and in 45 patients
2 of MMF negative patients.

3 Using the Fischer's exact test the
4 association between the presence of myalgias and the
5 presence of MMF lesion in the deltoid muscle was
6 very, very significant. This is a very important
7 point.

8 Of course, I have no idea of the proportion
9 of patients that have been vaccinated in this group
10 but you must know -- you must remember that 20
11 percent of the adult French population is
12 seropositive for HBV serology.

13 (Slide.)

14 Then we moved to the extraction in the 50
15 patients I told you about previously and by a re-
16 evaluation of all of these patients we found that 94
17 percent instead of the 85, when only the files at
18 time of biopsy were examined, had experienced
19 myalgias. And 98 percent of them had their myalgias
20 beginning after the last immunization. The delay
21 were somewhat variable with median delay of 11
22 months, which is an important delay. Thirty percent
23 of patients had their first myalgias within three
24 months after the last immunization. Sixty-one within
25 one year and 80 percent within two years.

1 As you remember, the muscle biopsy was
2 performed with a median time of three years after the
3 immunization.

4 (Slide.)

5 So what were (sic) these myalgias looked
6 like? This was performed by the French Ministry of
7 Health. They wanted to have clinical information on
8 the symptoms of MMF patients so they performed in-
9 depth interviews of 40 patients, 40 of the 50 first
10 patients or 60 first patients.

11 (Slide.)

12 They found 19 men, 21 women, the age at
13 date of onset was seven to 69 years with a mean of 42
14 years. And, importantly, 69 percent were aged 40 or
15 more at onset of symptoms.

16 (Slide.)

17 Interestingly, the date of onset of symptoms
18 peaked in '97 even if the biopsy was performed either
19 in '97, '98 or '99.

20 (Slide.)

21 At onset of the systemic disease here are
22 the symptoms. Myalgia and fatigue in 37.5 percent.
23 Myalgia alone, both groups included 65 percent of
24 patients with myalgias as first symptoms. Fatigue
25 alone in 25 percent. And other, ten percent.

26 And when the type of myalgia was assessed,
27 this is very important, it appeared that these

1 myalgias used to begin in lower limbs, and especially
2 in legs and calves. Another point very important was
3 that these myalgias were symmetrical and bilateral
4 and symmetrical. So the picture is one of myalgias
5 that begin in calves and legs.

6 (Slide.)

7 At time of biopsy the myalgia and fatigue
8 accounted for 60 percent of people. Myalgia alone
9 for 15 percent. Fatigue alone, 20. And here again
10 the myalgias predominated in lower limbs although
11 most patients had diffuse myalgia at the time of the
12 biopsy.

13 So you must understand that these people
14 have a stereotypical picture on the clinical point of
15 view that includes myalgias beginning in calves and
16 progressively going up and becoming diffuse.

17 (Slide.)

18 So, finally, an overall of 82.5 percent of
19 people with MMF in the deltoid muscle biopsy had
20 myalgias previously to the deltoid muscle biopsy.

21 What was the impact of the myalgic syndrome?
22 As you can see here, 85 percent of these people were
23 disabled. These are only at efforts or most usually
24 for light or even basic activities. So these
25 myalgias were stereotypical as regard with their
26 progression and were more or less debilitating in
27 most patients.

1 (Slide.)

2 A very interesting finding is that there is
3 a noninvasive procedure that may help to assess MMF.
4 This is the gallium scintigraphy. We first used the
5 gallium scintigraphy to assess a diffuse picture,
6 clinical picture. We first sought to represent a
7 type of granulomatous myopathy rather similar to
8 sarcoidosis. And we used gallium scintigraphy
9 because gallium binds transferrin receptor, CD71.

10 And we made the following study: We
11 included 12 consecutive MMF patients and we used as
12 controls ten normal people, ten polymyositis, ten
13 sarcoidosis, and eight patients with the so-called
14 fibromyalgia that met the criteria for the American
15 College of Rheumatology. You must know these
16 symptoms which is poorly defined as a disease but
17 which can be recognized easily by a number of tender
18 points at the muscle insertions.

19 And scintigraphy was performed using the
20 standard procedure.

21 (Slide.)

22 First controls. Fibromyalgic patients had
23 no gallium uptake at all. Sarcoidosis, as expected,
24 had nodular gallium uptake in muscle and fascias were
25 always spared. When there was articular uptake it
26 was of a nodular synovial type.

1 And in polymyositis there was an
2 autoheterogeneous uptake that was usually sparing the
3 fascias but not constantly.

4 (Slide.)

5 Now the MMF patients. Clinically the
6 patient included in the scintigraphic study had, as
7 usual, myalgias in lower limbs, mainly in calves, in
8 11 of the 12 patients. They also had marked fatigue
9 and importantly none of them had the typical
10 fibromyalgic tender points. Mild elevation of CK
11 was observed in half of patients as in the -- as
12 usual in MMF.

13 The important thing is that the gallium
14 uptake was globally higher in MMF than in normal
15 controls and there was a very particular -- a very
16 special gallium uptake in the muscle that appeared as
17 linear uptake bordering the fascias, which was very
18 closely related to the location of myalgias.

19 As you can see here, the gallium uptake was
20 much higher in lower limbs than in upper limbs and
21 there was a very good correlation between the gallium
22 uptake and the location of the myalgias.

23 In joints there was also a predominance for
24 the large joints in the lower limbs than in the upper
25 limbs.

26 Now pictures.

27 (Slide.)

1 This is a typical picture of MMF.

2 (Slide.)

3 And as you can see here what is
4 characteristic is this type of linear uptake with
5 periarticular uptake.

6 (Slide.)

7 Here another with this diffuse linear
8 positivity.

9 (Slide.)

10 And at upper limbs there were mainly
11 positivities around fascias -- around articulations.

12 So I am not a scintigrapher but the best
13 French scintigrapher was involved in this study and
14 the pictures were evaluated blindfolded diagnosis by
15 two experts in scintigraphy and they are absolutely
16 convinced that this picture is something that they do
17 (sic) not used to see.

18 (Slide.)

19 So this is the point on myalgia. These
20 myalgias are characteristic. We can recognize the
21 patients because they -- all of them or most of them
22 have the same story to provide to us with beginning
23 in the lower limbs and going up and persisting for
24 months or years.

25 (Slide.)

26 Now, as you saw, these patients also had
27 fatigue and we were interested because in the past

1 there have been some association between immunization
2 and chronic fatigue syndrome, to see whether our
3 patients met or not the standard or international
4 criteria for chronic fatigue syndrome.

5 There are two criterias for chronic fatigue
6 syndrome. The CDC criteria include unexplained
7 fatigue for more than six months, of new onset not
8 alleviated by rest with substantial reduction of
9 activity, and at least four other symptoms that
10 include tender lymph nodes, myalgias, arthralgias,
11 headaches, memory impairment, unrefreshing sleep, and
12 post-exertional malaise existing for 94 hours.

13 And there are criteria for exclusion, any
14 type of psychosis but not uncomplicated depression,
15 substance misuse or alcoholism, and obesity or
16 anorexia or bulimia.

17 (Slide.)

18 There is another set of criteria used by the
19 English people which is more simple. It is severe
20 disabling fatigue for more than six months affecting
21 physical or mental functioning present more than 50
22 percent of the time. Other symptoms may be present
23 including mainly myalgia and sleep and mood
24 disturbances. Exclusion criteria are similar to
25 those of the CDC criteria.

26 (Slide.)

1 Now what was the fatigue setting in MMF
2 patients? At the moment we have re-evaluated 30 of
3 these people to assess fatigue. Ninety-three percent
4 had fatigue for more than six months and 87 percent
5 were disabled enough because of this fatigue.

6 When using the two criteria I showed you,
7 about one-half of them met the criteria, the CDC
8 criteria, and 40 percent the Oxford criteria.

9 So some of these patients meet the
10 international criteria for chronic fatigue syndrome.

11 (Slide.)

12 So we also performed this assessment of
13 possible chronic fatigue syndrome in these people
14 because we wanted to have an idea to have -- to get
15 further in physiopathologic explanation. And there
16 have been a lot of investigators that felt that --
17 that feel that the chronic fatigue syndrome, which is
18 usually post-infectious, as you must know, could
19 represent an immunological problem that consists in
20 the lack of switch off and immunologic activation
21 subsequently to infection with protected immune
22 stimulation with first a release of cytokines that
23 you probably know induced myalgias, fatigue,
24 arthralgias, and subsequently emergence of
25 autoimmunity with autoreactive T and B cells.

1 So we tried to see whether we have evidence
2 for cytokine release abnormalities or for
3 autoimmunity in these people.

4 There were -- we -- it is a preliminary
5 study in which 11 controls from my lab were used and
6 17 MMF people.

7 Two cytokines had -- were increased with
8 significant values. The IL-1 receptor antagonist and
9 the IL-6. You must know that IL-1 receptor
10 antagonist is a very strong molecule as compared with
11 the other IL-1 molecules, and when it is increased it
12 assessed that the IL-1 system has been importantly
13 activated.

14 (Slide.)

15 Three other cytokines were investigated.
16 There was no difference for IL-1 data itself. There
17 was a tendency that did not reach the significant
18 value for TNF-alpha and there was also a tendency,
19 less impressive, for GM-CSF.

20 So there is some evidence that these people
21 do have some cytokine abnormal regulation.

22 (Slide.)

23 Second, we tried to assess the autoimmunity
24 in these individuals by checking the circulating
25 autoantibodies and we found at the moment with only
26 the acetyl choline receptor antibodies that has not
27 been performed at this moment that 50 percent of MMF

1 patients do have more or less subtle signs of
2 autoimmunity.

3 The two main autoantibodies that were found
4 were antinuclear antibodies in 30 percent of patients
5 and antiphospholipid antibodies in 20 percent.

6 As you can see here, the titers were not
7 very impressive but significant if I believe my
8 immunologist. Other autoantibodies were rarely or
9 not found.

10 (Slide.)

11 Finally, we looked at possible association
12 with true autoimmunity -- overt autoimmune diseases.
13 And we had 34 percent of the MMF patients having an
14 autoimmune disease and impressively the most frequent
15 one was multiple sclerosis. There were also DM,
16 Hoshimoto's (?) arthritis and rheumatoid arthritis.
17 Sorry for the mistake.

18 So maybe you will be interested in something
19 about MS in these people and I can provide you with
20 the sequence of events from immunization to detection
21 of MMF in these individuals.

22 (Slide.)

23 Patient one, two, three, four, five, six,
24 seven, here the delay before biopsy in years. And
25 you have the biopsy is here. You have in black -- in
26 black the CNS symptoms related to MS. You have in
27 gray here under the line the myalgias. And you have

1 as arrows the injection time of the last of the known
2 aluminum injections.

3 And, as you can see here, there was always
4 an immunization preceding the MS appearance, and I
5 should say that all these people had an MS meeting
6 the international criteria for definite MS.

7 And as you can see here very intriguing
8 feature which could be important in the clinical
9 practice that all these patients with the exception
10 of this one in which we have no -- in which the time
11 of observation is very short or these patients had
12 curious MS because of the presence of myalgias which
13 are not usually observed in MS individuals.

14 So one thing which could be important if you
15 have MS patients with myalgias, perform muscle biopsy
16 in the deltoid.

17 So it is at the moment what I can say from
18 our patients on the clinical point of view.

19 Thank you.

20 (Applause.)

21 DR. CENTENO: Thank you, Dr. Gherardi. This
22 talk is open for questions and comments.

23 DR. CHEN: Bob Chen.

24 Romain, congratulations on a wonderful
25 sequence of studies. I am trying to figure out one
26 thing in my mind which may be a bit of a discrepancy.

27 As you mentioned that a large number of French adults

1 are vaccinated and you only had 100 MMF cases, and
2 then in the rabbit studies presented by Dr. Verdier
3 they had MMF-like lesions but not quite and then in
4 the rabbit studies you did was it all four out of the
5 four developed MMF-like or MMF lesions that are
6 identical to the human? How do they relate to Dr.
7 Verdier's studies?

8 DR. GHERARDI: Yes. Usually we performed
9 the injection in rats, not in rabbits, with a human
10 HBV vaccine and the lesion evolved as initially
11 strongly inflammatory lesion and progressively
12 decreased in the number of lymphocytes and the
13 appearance of macrophages with pictures that were
14 strictly similar, strictly similar to the human MMF
15 lesion at day 21 post-immunization, post-injection.

16 DR. CHEN: So I guess then the question
17 would be that it would be interesting to follow these
18 rats out longer to see how long --

19 DR. GELLIN: Exactly. Okay. We are just
20 doing the job at the moment. I can tell you that at
21 months four post-injection half of the animals are
22 free of lesions.

23 DR. CHEN: Okay. So again trying to figure
24 out --

25 DR. GELLIN: And we kept in series all the
26 injected muscles so we cannot miss the thing if it
27 was in it.

1 DR. CHEN: So in a sense -- again trying to
2 address the species differences then. It seems like
3 at least in rats there is a higher prevalence of MMF.

4 DR. GELLIN: So we addressed the question of
5 a possible importance of the genetic background for
6 removing the aluminum because there are marked and
7 individual differences for the aluminum removal. And
8 we found no differences among rats that were from the
9 lowest strain, which is usually a good strain for
10 inducing autoimmune diseases experimentally and the
11 Sprague-Dawley rats that are normal rats.

12 DR. CHEN: And the second point is the -- I
13 was very excited by the noninvasive gallium scan as a
14 possible very specific diagnosis. I am curious has
15 those findings been published in the radiology
16 literature to see if others --

17 DR. GELLIN: Yes. It is in print in
18 Arthritis and Rheumatism.

19 DR. GRABENSTEIN: John Grabenstein, U.S.
20 Army.

21 Dr. Gherardi, one of your early slides in
22 this second session or second piece was a two by two
23 table of myalgias and the presence or absence of MMF.

24 DR. GELLIN: Yes.

25 DR. GRABENSTEIN: And you had 85 percent of
26 the MMF positive cases reported myalgia.

27 DR. GELLIN: Myalgic, yes.

1 DR. GRABENSTEIN: From what population did
2 the MMF negative people arise? Is that --

3 DR. GELLIN: Every people that underwent
4 deltoid muscle biopsy in our labs. Whatever the
5 reason was.

6 DR. GRABENSTEIN: And can you concisely
7 describe --

8 DR. GELLIN: They had myopathies, they had
9 research for mitochondrial disease, they had muscle
10 dystrophies, they had inflammatory myopathies and so
11 on.

12 DR. GRABENSTEIN: Okay. Good. And did --
13 towards the end you were presenting data on multiple
14 sclerosis. Did you do a two by two table associated
15 MMF plus or minus and MS plus or minus, with or
16 without?

17 DR. GELLIN: In the same way?

18 DR. GRABENSTEIN: Yes.

19 DR. GELLIN: We did not do that.

20 DR. GRABENSTEIN: Thank you.

21 DR. BRAUN: Miles Braun, FDA.

22 Did you -- I saw you put up a case
23 definition for chronic fatigue syndrome. Do you --
24 maybe I missed this but do you have a case
25 definition? I mean, we are talking about MMF and --
26 did I miss that definition of -- because, you know,
27 we are talking about an entity but just to make sure,

1 you know, other people know kind of who you are
2 talking about and also if they wanted to replicate or
3 study this.

4 DR. GELLIN: Since it has become clear now
5 by the study performed by the French government,
6 which is independently from us, detected what we see
7 every week in our labs or in our clinical wards,
8 these people have a very special myalgic presentation
9 with these very special ascending myalgias. And if
10 we have to coin a case definition it could and should
11 involve this particular progression of the myalgias.
12 Is that what you wanted me to answer?

13 DR. BRAUN: I think it could be helpful for
14 -- well, I am an epidemiologist so, you know, we try
15 to have case definitions. If you do not have a
16 passive pneumonic sign or symptom, you know, like --

17 DR. GELLIN: Well, myalgias beginning in
18 legs, fatigue, repetitive gallium scintigraphy, and
19 presence of MMF in the deltoid muscle. And if you
20 have this you are sure you are speaking of the same
21 thing.

22 DR. BRAUN: So you would have to have this
23 biopsy with -- you said -- I am sorry, presence of
24 MMF in the biopsy?

25 DR. GELLIN: It is the hallmark of the
26 disease.

1 DR. BRAUN: So, I mean, that is -- even if
2 you define --

3 DR. GELLIN: I can comment on this if you
4 want.

5 DR. BRAUN: Okay.

6 DR. GELLIN: We had some people that had the
7 typical ascending myalgias and fatigue that had been
8 vaccinated for hepatitis B and that had no MMF in the
9 deltoid but these people had been vaccinated
10 elsewhere. Usually in sites that were not available
11 for biopsy.

12 So my feeling is that possibly we can even
13 not take into account the muscle biopsy if we have
14 the vaccination clearly present and the clinical
15 picture completely clear.

16 Are you content with this?

17 DR. BRAUN: Well, I -- you do not have to
18 convince me. So you are saying vaccination has to be
19 part of -- precede MMF. So can you have MMF without
20 vaccination?

21 DR. GELLIN: No. MMF without vaccination
22 does not occur. 100 percent of our patients have
23 been vaccinated. This is clear and there is no
24 question about this. We must speak of MMF at the
25 moment when we have the lesion and the lesion is
26 definitely due to ~~IM injection of aluminum~~
27 containing vaccines. So the most simple way to be

1 sure that a patient has MMF is to get the lesion. If
2 you have the lesion you are -- no, the question could
3 be because it is possible to induce the lesion in
4 animals that a patient with myalgias of other origin
5 that has been recently vaccinated by hepatitis B
6 could be found to have MMF lesions.

7 This can occur but you understood that our
8 patients had their last injection with a median of 36
9 months, three years, and we have people with five,
10 six, seven, eight years delay from the last injection
11 to the MMF detection by biopsy.

12 So there are several lines of evidence
13 indicating that the abnormality, the basic
14 abnormality in these individuals is the persistence
15 of the granuloma, which occurs in everybody that is
16 injected but which should disappear within weeks or a
17 few months. Okay.

18 DR. CENTENO: We should move on to the next
19 very few quick questions.

20 DR. GERBER: Gerber, NIH.

21 In your first presentation I thought that
22 you had said that many of these MMF patients had
23 presented with a Whipple-like syndrome and, in fact,
24 you showed us the results of some GI biopsies.

25 DR. GELLIN: Yes.

26 DR. GERBER: You did not tell us anything,
27 though, about the GI symptoms in these patients?

1 DR. GELLIN: No GI symptoms.

2 DR. GERBER: They have no GI symptoms at
3 all.

4 DR. GELLIN: No.

5 DR. PLESS: Robert Pless, CDC. If you can
6 clarify perhaps why you have not been revisiting your
7 MMF negative biopsy group, because a number of your
8 controlled studies were done on normal controls and
9 your scintigraphy study was done on just the MMF
10 patients, and a subset of patients who have had other
11 conditions but they all have features of -- but the
12 myalgias are the ones that light up in a special way.
13 Have you looked at the myalgias amongst your other
14 biopsy specimens to see if they light up in a similar
15 way before we establish --

16 DR. GELLIN: Yes. The study was exactly
17 performed to assess that myalgias were -- was really
18 more frequently observed in MMF patients than in non-
19 MMF patients undergoing similar deltoid muscle biopsy
20 in our labs. This was the case.

21 DR. PLESS: And how about myalgic patients
22 amongst the 1,200 other biopsy specimens?

23 Are they -- are the features of their
24 myalgias different than the MMF myalgias?

25 DR. GELLIN: Yes. The picture of ascending
26 myalgias has not been described to my knowledge as a
27 thing. Especially in fibromyalgia, our patients do

1 not have fibromyalgia. You understood that. And as
2 far as I know, in chronic fatigue syndrome, such an
3 ascending evolution of myalgias have not been
4 reported.

5 DR. GELLIN: Bruce Gellin, Vanderbilt.

6 You have -- this is a story that has been
7 evolving for eight or nine years. I imagine others --
8 -- other neurologists in other countries have heard
9 this. Is there -- why is this a French phenomenon?

10 DR. GELLIN: Yes. Excellent question. I
11 have two types of answers. First, there are many
12 adults -- France is probably the only country in the
13 world in which so many adults have been -- have
14 received PRIMO vaccination for hepatitis B at
15 adulthood. A very important number of adult
16 patients have been vaccinated for the first time for
17 hepatitis B virus in France in the mid '90s. This is
18 probably one answer.

19 And the other one, which is maybe most
20 troublesome for the U.S. people, is that for
21 historical reasons we used to perform muscle biopsies
22 in the deltoid muscle in France as a first choice
23 site for biopsy. And in the U.S. and in many other
24 parts in the world it has been said that the deltoid
25 muscle biopsy should not be used as a site for
26 biopsy. Ken Gangel (?) at the NIH for years said
27 deltoid muscle biopsy is not convenient for

1 appropriate muscle investigation and you should
2 perform biceps biopsy, triceps biopsy -- or even
3 quadriceps biopsy.

4 So I am absolutely convinced that you have
5 similar patients in the U.S. but that you do not
6 detect them because of the biopsy procedure which is
7 not -- which do not implicate the deltoid muscle
8 biopsy.

9 DR. GELLIN: Well, given that, is it
10 possible -- you had mentioned 100 years of deltoid
11 biopsying in France. Is it possible to examine
12 specimens from earlier --

13 DR. GELLIN: No, no. It is excluded that
14 such a lesion which is very special, very particular,
15 has escaped so many eyes -- competent eyes. We are
16 absolutely sure in the Marseilles team, in my team,
17 in the other team that this has not been seen
18 previously. We are absolutely sure of this.

19 DR. GELLIN: Just one comment on your first
20 response.

21 DR. GHERARDI: Yes.

22 DR. GELLIN: It would seem to me that health
23 care workers around the world are a group of people
24 who as adults would receive hepatitis B vaccine.
25 Though there was -- I understand -- some kind of a
26 campaign in France, that is a phenomenon that is
27 larger than just that French experience.

1 DR. BRENNER: I have one comment. I think I
2 can clarify something about the United States.

3 DR. GHERARDI: Yes.

4 DR. BRENNER: Most of our muscle biopsies --
5 I am a rheumatologist. I am not a neurologist but we
6 do, do a lot of muscle biopsies on our own.

7 Most of our biopsies are EMG directed so
8 that our usual procedure is to do a unilateral EMG
9 and nerve conduction study and then do a
10 contralateral muscle biopsy looking at the
11 contralateral most involved muscle so that we do not
12 end up with the issue of needle irritation of muscle
13 to mistake that for any kind of an inflammatory
14 response.

15 DR. GHERARDI: Exactly.

16 DR. BRENNER: So I think that is one of the
17 reasons why the muscles that we use are directed in a
18 different way.

19 DR. GHERARDI: Sure.

20 DR. BRENNER: I have one -- two questions,
21 though.

22 One is experimentally similar lesions have
23 been shown using other adjuvants. Mineral oil has
24 been shown to have a similar inflammatory lesion in
25 muscle, calcium phosphate has been shown to have a
26 ~~similar lesion in muscle. Calcium phosphate also~~
27 produces foaming macrophages.

1 And if those things are true, and I believe
2 they are, then why would this one particular entity
3 produce a clinical syndrome when the other -- when
4 the other lesions look pretty much the same at least
5 in experimental animals?

6 My second -- and then I will go sit down --
7 is you mentioned that you gallium scans were globally
8 increased in your MMF patients. And I just was
9 curious to know what globally meant.

10 The gallium scan that you showed could just
11 as easily have come from a rheumatoid patient. What
12 I saw was increased uptake in the wrists and
13 increased uptake in perimysial tissues, which you
14 also can see in rheumatoid patients because there is,
15 you know, there is sort of a perimyocytic
16 inflammation sometimes.

17 DR. GHERARDI: Okay. I forget the first --

18 DR. BRENNER: The first had to do with
19 similar lesions being produced --

20 DR. GHERARDI: Oh, yes. Yes. The very
21 special point with aluminum hydroxide as demonstrated
22 yesterday is that it appears to be an adjuvant that
23 is very slowly eliminated as compared with many
24 others and this may be why some people retain for a
25 long period of time an adjuvant which has per se an
26 immunoactivity (sic). So the persistence of an
27 immunoactivator somewhere in the body for years can --

1 - why not -- possibly induce immune activation --
2 systemic immunoactivation at low levels with systemic
3 cytokine, for instance, myalgias and so on.

4 What was the second question?

5 DR. BRENNER: (Not at microphone.) The
6 second was what does global mean in terms of what
7 your gallium scan showed?

8 DR. GHERARDI: Well, this was said to us by
9 the scientific office that knows this more than I,
10 the number of hits was higher than in the normal. So
11 there was a higher number of transferrin receptors
12 expressed in these people for unknown reasons.

13 DR. CENTENO: Last question?

14 DR. HALSEY: Neal Halsey. I think a number
15 of us are concerned about the fact that you are
16 finding these lesions only in the deltoid but yet
17 there are symptoms that are associated with muscles
18 elsewhere. The gallium scans that you are showing
19 suggest there may be something in other muscles.

20 Have you gone to your MMF patients who do
21 have symptoms and biopsied areas where the gallium
22 scans are abnormal?

23 DR. GHERARDI: Yes.

24 DR. HALSEY: I thought I heard one of the
25 earlier presenters saying that the other muscle
26 biopsies elsewhere have not shown these lesions.

1 DR. GHERARDI: Yes. This is a very
2 important point.

3 DR. HALSEY: I have a follow-up question.

4 DR. GHERARDI: Okay. It is a very important
5 question. We did not perform a systematic evaluation
6 of the remote muscle but we have some patients in
7 which it was done and what is observed at sites that
8 are painful and that demonstrate gallium uptake is
9 subtle inflammatory infiltrates without macrophages.

10 So there appears to be there a type of
11 immunopathologic reaction that does not meet usually
12 the characteristic of polymyositis or the myoscleritis
13 or even vasculitis. There are some lymphocytic
14 infiltrates in the fascias as the sole abnormality in
15 the regions that express pain and gallium uptake.

16 So there is something but it is not present
17 very clearly defined as what it can be. And you must
18 understand that the gallium uptake indicates the CD71
19 marker transferrin receptor is expressed and you must
20 know that transferrin receptor binds transferrin and
21 that aluminum is bound to transferrin as gallium is
22 bound to transferrin.

23 So here may be something has to be
24 understood but at present I did not understand
25 nothing.

26 DR. HALSEY: Okay. The second point was
27 that you have made the point it is very difficult to

1 get biopsies from normal individuals. But certainly
2 it would be possible to get samples of muscle tissue
3 post-mortem from individuals who have died from a
4 whole variety of other disorders and that can be done
5 in this country. It can be done in France, as well,
6 I would assume.

7 And one could then -- you do not have the
8 problem of finding exactly where the injection site
9 is and I think a large study of people who are normal
10 would be very beneficial and also knowing where and
11 when they have received injections.

12 DR. CENTENO: I believe we should continue
13 with the questions at the coffee break. We are -- we
14 almost have only ten minutes for a coffee break. So
15 we would like to -- if you could join me in thanking
16 Dr. Gherardi and Dr. Verdier for a wonderful morning.

17 (Applause.)

18 (Whereupon, at 10:39 a.m., a break was
19 taken.)

20 DR. MYERS: Well, I hate to break up the
21 discussion groups that were informally working so
22 hard over the coffee pot but I think it is time to
23 reconvene.

24 We are going to have two panel discussions
25 now to talk about the issues of what we know and what
26 we do not know. The first panel, Dr. John Clements
27 has agreed to chair. And we would ask his panel to

1 come forward and join him at the table up front, and
2 that will be Dr. Gherardi, who must be exhausted by
3 this point, Dr. Robert Pless from the CDC, Dr. Phil
4 Pittman from USAMRIID, and Peggy Rennels from the
5 University of Maryland.

6 PANEL DISCUSSION - WHAT WE KNOW

7 MODERATOR: JOHN CLEMENTS

8 DR. CLEMENTS: Good morning, everybody.

9 (Slide.)

10 I have been asked to moderate this first
11 session and we are going to talk about what we know
12 about aluminum adjuvants and the second group is
13 going to talk about what we do not know.

14 Notice this is the A team so I presume the B
15 team is playing next.

16 (Slide.)

17 I am just going to try and summarize as the
18 first -- perhaps it is out of place of me as a
19 moderator to do this but as I did the presentation on
20 this area, I thought it appropriate for me just to
21 outline some of the key points that I thought were
22 very clear from my presentation, and particularly
23 followed up by many other people.

24 So I think what we have -- we can clearly
25 state about aluminum adjuvants in vaccines is that
26 ~~with some minor qualifications about the safety~~
27 relating to introduction of the adjuvant into the

1 subcutaneous tissue by mistake instead of the
2 intramuscular particularly, we have 70 years of safe
3 and effective use of these vaccines. Not to 20 or 30
4 children but to hundreds of millions of them over the
5 years. And this has saved millions of lives
6 annually. The minor reactions are few and not
7 serious.

8 There are not easy and obvious substitutes
9 to aluminum adjuvants for DTP, hepatitis B vaccines
10 that are the main consumers of this in global terms.

11 There are new vaccines and a new generation
12 of vaccines coming up that will need new adjuvants
13 but the existing vaccines, if they change the
14 adjuvant for any reason, would need to be resubmitted
15 for clinical trials for safety and efficacy and it
16 would take a great deal of time to do that.

17 We are faced with a similar potential
18 problem with thimerosal and we have dealt with that
19 as well that if any new preservative were used,
20 immense amounts of clinical trials would have to be
21 repeated.

22 (Slide.)

23 Okay. I am going to pass on to the next
24 member of the panel to just take you quickly through
25 a few brief statements like that.

26 Who is doing toxicology? It is Robert.

1 DR. PLESS: Thank you. I was asked to
2 address a little bit about toxicology and I am not a
3 toxicologist so what I am proposing to do for the
4 next couple of slides is just to give you a sense of
5 my take on yesterday's discussion plus add a little
6 bit more, and then sort of ask the audience to -- as
7 I was trying to say I am not a toxicologist.

8 And so I have been asked to present this
9 more from a perspective of what I have learned in the
10 last little while and especially yesterday about the
11 toxicology of aluminum and especially how it relates
12 to vaccines, and then sort of leave it open to the
13 audience to then challenge some of these notions and
14 certainly move on the discussion to the next phase.

15 So if I can have the first bullet.

16 (Slide.)

17 I think we are pretty all clear that we are
18 talking about exposure via the intramuscular route
19 and what I found in reading the tox profile for
20 aluminum as well as the tox profile for mercury,
21 which as everyone is familiar with, the thimerosal
22 story, is a similar challenge that were being posed,
23 is that routes of exposure via injection are rarely
24 addressed, and so we have some deficient data there.

25 (Slide.)

26 I also took the liberty of a back of the
27 envelop calculation to look at the amount of aluminum

1 one is exposed to over the infant series in the first
2 year. And that was certainly work done by Norman
3 Baylor but I have kind of addressed it along the
4 thimerosal lines.

5 So the birth "dose" of aluminum is about .24
6 milligrams and then at the two, four and six month
7 injection visits there are between .4 and 1.1
8 milligrams per visit so about a total of 3.5
9 milligrams.

10 (Slide.)

11 And so if we extrapolate the way it was done
12 for mercury over six months using the minimal risk
13 levels, that permits for the average infant -- and I
14 am weighing towards the premature infant somewhat and
15 towards the female infant, and I am actually trying
16 to remember what the growth curves were like because
17 I did not have the file with me yesterday.

18 But I think we are looking at about 1.4
19 grams of allowable aluminum if we use the
20 extrapolation of .2 milligrams per kilogram per day.
21 So we are really dealing with a total dose of
22 aluminum over the first six months of -- from
23 vaccines that is much smaller than the dose that is
24 "permitted" by MRL.

25 And if one recalls the mercury curves then -
26 - well, first, as one recalls yesterday's curve that
27 Sam Keith presented regarding the MRL and the boluses

1 from the first few injections, what he indicated was
2 that perhaps on day one with perhaps a hepatitis B
3 vaccine dose, the spike exceeds the MRL slightly or
4 the -- it rises above, as well as I think it was the
5 two month dose but essentially the aluminum curve
6 from vaccines falls below the minimal risk levels.

7 Whereas, when we remember the mercury
8 curves, they were kind of following along a little
9 bit and also we had concerns that depending on the
10 health guidance values used, the dose of mercury was
11 exceeding some of the guidance values.

12 (Slide.)

13 And I also learned something yesterday from
14 the bunny studies that there is both elimination and
15 storage of aluminum following an injection and I was
16 trying to become clear as to how much impact the
17 initial storage of aluminum has on the curves that
18 Sam Keith presented, and whether having some storage
19 and some immediate elimination might actually make
20 those peaks fall below the MRL but that is sort of up
21 for discussion.

22 (Slide.)

23 So what is sort of my conclusion? I guess,
24 I am having trouble seeing any potential for toxicity
25 with vaccine level exposures to aluminum so I would
26 sort of conclude that we are really dealing with the
27 phenomenon of MMF of a lesion that is persistent at

1 an injection site and whether there is a clinical
2 syndrome attached to that rather than any global
3 concerns about the quantities of aluminum that are
4 ingested from vaccination.

5 DR. CLEMENTS: Thank you. If you will allow
6 me, we will run through the other quick summaries and
7 then please make notes and we will come back and
8 discuss them, and listen to your points and tell you
9 why you are wrong.

10 (Laughter.)

11 DR. CLEMENTS: Romain, would you like to
12 take the microphone?

13 (Slide.)

14 DR. GHERARDI: So the first thing that seems
15 to be established is that MMF lesions are something
16 that was not very -- rarely reported in the past and
17 the MMF lesions may be regarded as an aluminum
18 granuloma on the basis of constant detection of
19 aluminum hydroxide crystals in these cells.

20 At the moment maybe we must preserve the
21 idea that detection of aluminum crystals into cells
22 is the hallmark of the lesion.

23 Second, it seems clear from studies from the
24 type of the inclusion, the crystalline form of the
25 inclusion, from the epidemiological survey and from
26 animal studies, that the aluminum that is absorbed
27 into cells in MMF lesions is derived from the

1 aluminum adjuvants used in TT, HBV and HAV vaccines.
2 To me this is clear and definite.

3 Three, the patients in which such MMF
4 lesions have been observed, or I should say a large
5 majority of these patients have a clinical syndrome
6 that is diffuse and include myalgias that have
7 appeared to be rather -- and disabling fatigue which
8 certainly appears subsequently to the last aluminum
9 containing immunization in almost all of them.

10 At the moment it is exactly what we can be
11 sure of.

12 Finally, and this is what we do not know, is
13 that -- the relationship between the focal injection
14 induced MMF lesion into the deltoid muscle and the
15 systemic symptoms, what is the relationship between
16 this focal lesion and systemic symptoms is, is at the
17 moment unknown.

18 DR. CLEMENTS: Thank you. I think that was
19 a rather precise clear description of what we do
20 know. Thank you.

21 Okay. Phillip, would you like to take the
22 floor?

23 DR. PITTMAN: Sure.

24 (Slide.)

25 This is a summary that Carl Alving and I
26 actually came up with. Most of them are his

1 actually. The first that -- and this is -- this
2 really concerns the immunology of adjuvants.

3 First, of course, is that their duty is to
4 bring antigen into contact with the immune system.
5 This was brought out fairly clearly during
6 discussions the other day.

7 That it influences the type of immunity,
8 that is whether we are discussing humoral, cellular
9 or mucosal immunity in respect to whether antibodies
10 are produced, CTL's or signatory IgA, et cetera.

11 (Slide.)

12 The adjuvants influence the quality of the
13 immune response from the point of view of affinity,
14 isotype and specificity.

15 It also influences the quality of the immune
16 response in terms of -- the quantity that should be --
17 -- in terms of magnitude and duration.

18 And, of course, it may decrease toxicity of
19 certain antigens. Some of us heard yesterday a good
20 example of that is decreasing the toxicity of
21 pertussis.

22 It may convert nonresponders to a responder
23 status.

24 (Slide.)

25 And, finally, we are always worried about
26 the stimulation of the appropriate -- of an
27 appropriate immune response except for the case of

1 cancer vaccines and certain other exotic
2 applications. We normally may not want to stimulate
3 autoimmunity. We would like vaccines to be safe.

4 DR. CLEMENTS: Excuse me a minute. Okay.
5 Thank you.

6 Finally, Peggy, would you like to take the
7 microphone?

8 (Slide.)

9 DR. RENNELS: Regarding immediate local
10 reactions following injections of aluminum absorbed
11 vaccines, we know that when they are injected
12 subcutaneously some severe -- some individuals will
13 experience severe local reactions, including a lot of
14 induration, erythema, pain.

15 We know that there is not a consistent
16 relationship between the aluminum content of the
17 vaccine and the rate of severe local reactions when
18 the injection is given intramuscularly.

19 And that is all I know.

20 DR. CLEMENTS: All right. That is the panel
21 team's response about what we think we have distilled
22 out of the last day's discussions.

23 First, I will ask you if you disagree with
24 anything and then I would ask you if you think that
25 something that we should also include in here that we
26 clearly do know and would contribute to our solid
27 base of evidence. Okay.

1 So, first of all, do you have anything that
2 you think you would like to correct?

3 DR. MUSIC: Stan Music, Merck.

4 Could we go back to the MMF slide? I want
5 to talk about the third bullet on there, which
6 essentially talks about a temporal relationship.

7 (Slide.)

8 Yes. Appearing subsequently to
9 immunization. I want to point out that that does not
10 imply cause and effect, that something that happens
11 after immunization also happens after a lot of other
12 things, and there are ways not yet demonstrated to
13 determine cause and effect.

14 DR. CLEMENTS: Romain, do you want to
15 comment on that?

16 DR. GHERARDI: Yes. It was -- the following
17 sentence was intended to say.

18 DR. CLEMENTS: So we agree. Thank you.

19 DR. HALSEY: Neal Halsey. Just a couple of
20 points to add to Robert Pless' and maybe -- I do not
21 know that I really disagree but I think that the
22 toxicologists still have some additional work to do
23 in that we do not seem to have the information on the
24 age related toxicity of aluminum and especially when
25 we are dealing with very young infants.

26 A lot of the data have been generated from
27 adults and we do not know whether or not there is a

1 difference in susceptibility by age as there are with
2 other metals.

3 The second -- we did not hear what the other
4 guidelines are and I do understand that there are
5 some other guidelines with regard to exposure.

6 The third is again the issue of bolus doses
7 versus intermittent and really we do not have
8 information about how much is absorbed, how rapidly,
9 and obviously not all of it is absorbed so the blood
10 levels may not be what one projects.

11 So I think the toxicologists are not done
12 and I do not think we can say that we know
13 conclusively the answers to all of those points at
14 this time but some of the information is out there
15 and could be compiled in the report from this
16 meeting.

17 The other issue is --

18 DR. CLEMENTS: That is the next panel
19 discussion.

20 DR. HALSEY: -- Peggy, I wonder -- you did
21 not mention the one statistically significant
22 association between aluminum and the swelling, and I
23 am trying to remind myself which one that was because
24 you presented several different analyses, and whether
25 you think there is anything to that or you think that
26 is a chance association based upon multiple
27 comparisons?

1 DR. RENNELS: Okay. The association that
2 was significant was post-dose five, association with
3 swelling greater than 50 millimeters. Obviously I do
4 not know whether it is real or just chance
5 association but the fact that it was not -- there was
6 not a correlation with entire thigh swelling post-
7 dose four or with post-dose four swelling greater
8 than five centimeters makes me think it is
9 statistical artifact.

10 DR. CASERTA: Vito Caserta from the Vaccine
11 Compensation Program.

12 I have a question for Dr. Gherardi. I am a
13 little bit confused about the actual composition of
14 the crystals in the macrophages. I have a copy of an
15 abstract where Dr. Gherardi's group describes 38 MMF
16 cases and in that abstract he describes the salt as
17 aluminum phosphate and today you have talked about
18 aluminum hydroxide.

19 Which is it?

20 DR. GHERARDI: No. This is at the time when
21 -- there is one picture that I did not show to you
22 but some people in the room know the results. There
23 was a co-localization of phosphorus and aluminum in
24 macrophages when analyzed by microanalysis so at the
25 moment I had the idea that it could represent
26 aluminum phosphate. But now it is clear that the
27 spicules are aluminum hydroxide and that it is only

1 abnormality and develop lesions after immunization
2 but it is simply a marker of this underlying disease
3 and not a cause of the disease.

4 Just comments. Thank you.

5 DR. CLEMENTS: Would you allow me to put
6 causality not proven at this point? Just to
7 underline a couple of comments.

8 DR. CASERTA: My concern is that once the
9 literature is confusing to the courts about
10 causality, the courts do not know how to deal with
11 that and it creates a great deal of difficulty in
12 terms of dealing with these types of cases in that
13 arena.

14 So we have to be very, very careful with our
15 language as we develop our ideas and as we develop
16 our thinking with these new entities and I think
17 prospectively published material needs to be
18 absolutely clear on the causality issue because I
19 think the previous material was not.

20 DR. CLEMENTS: Is that acceptable what I put
21 at the bottom then? Causality and not demonstrated
22 at this time?

23 DR. CASERTA: Yes.

24 DR. CLEMENTS: Please speak out if that is -
25 - if you feel differently about that. That includes
26 Romain.

1 DR. GHERARDI: It is a bit redundant with
2 the fourth --

3 DR. CLEMENTS: I agree that is what you
4 intended to say in the fourth one but I hear --

5 DR. GHERARDI: But if you prefer this
6 formulation, we can completely remove the sentence
7 four.

8 (Simultaneous discussion.)

9 DR. CLEMENTS: Who is writing this?

10 (Laughter.)

11 DR. GRABENSTEIN: John Grabenstein.

12 On the toxicology question. Robert, I have
13 had enough toxicology to be dangerous and I just
14 wanted to make sure when you calculated the 1.4 gram
15 over six month value that you included an adjustment
16 for the fact that the two milligrams per kilogram per
17 day -- correct me if I am wrong -- was an oral
18 exposure and needs to be reduced for systemic
19 absorption.

20 DR. GHERARDI: I am really sorry but I did
21 not understand a word of what you said.

22 (Laughter.)

23 DR. GRABENSTEIN: Yesterday the --

24 DR. CLEMENTS: It is a question for Robert.

25 DR. PLESS: It was early in the morning so
26 am going to start sweating in a few minutes and look
27 back at my notes.

1 DR. CLEMENTS: We will come back to that and
2 clarify it. Thank you for that.

3 Okay. We have -- Marty?

4 DR. MYERS: If we could go back to the MMF.
5 I guess I would have some other things that I think
6 we know. I would quibble on the third point and say
7 reported patients because there may be other patients
8 with MMF lesions and I know that is yet being
9 redundant again. But I guess one of the things that
10 we do know is that animals injected with aluminum
11 hydroxide and an adjuvant develop very similar
12 lesions very commonly and I think that is one of the
13 things that we do know. We do not know about
14 persistence over time.

15 DR. CLEMENTS: Give me a phrase that you
16 think should be in there.

17 DR. MYERS: That animals injected with
18 aluminum hydroxide and antigens commonly develop
19 similar lesions to MMF.

20 DR. BRENNER: I would like to make that one
21 a little more specific if I could. A question came
22 up about the possibility of immunogenetic
23 susceptibility which might be species specific or
24 immune specific in people. I just want to point out
25 that the animal studies have been done in Sprague-
26 Dawley rats. They have been done in guinea pigs.
27 They have been done in mice. They have been done in

1 swine. They have been done in all manner of animals
2 and the lesions that turn up related to aluminum
3 adjuvanted vaccines are the same. So I think that
4 that would make it unlikely that this has any
5 specific inheritable immunogenetic characteristic.

6 DR. CLEMENTS: Do you want anything added to
7 that sentence?

8 DR. BRENNER: Yes. I would just like to say
9 that -- in the first place I would like an "L" on the
10 animal and in the second place --

11 DR. CLEMENTS: I am not going to do this
12 again if I can --

13 (Laughter.)

14 DR. BRENNER: I just think that we just
15 ought to make some statement about the fact that it
16 is, you know, multiple -- many animal species have
17 been shown to produce similar lesions under similar
18 circumstances rather than just saying animals because
19 the specifics are known.

20 DR. CLEMENTS: Okay. Help me with the
21 wording later.

22 DR. BRENNER: Multiple animal models.

23 DR. _____: Multiple species.

24 DR. BRENNER: Yes. I like species.

25 DR. CLEMENTS: Sir?

26 DR. VERDIER: Yes. I would add another word
27 to this sentence. I think in animal models the

1 inflammation reaction is a transient inflammation
2 reaction. In the MMF situation it is -- this
3 inflammation reaction can persist for several months,
4 even perhaps several years. In the animal models the
5 similar lesions -- I mean, the inflammatory reactions
6 is only transient so I would perhaps add, if other
7 people agree in this room, transient before or just
8 after similar.

9 DR. BRENNER: Guinea pigs, you have the
10 swine. The swine were carried out six months and
11 they were sacrificed at that point. I cannot tell
12 you longer than that. But these are very long-term
13 experiments.

14 DR. VERDIER: But is it still inflammatory
15 reaction or is it just some remaining macrophages?

16 DR. BRENNER: No. What I was saying -- that
17 is what I was saying earlier is that they seem to
18 convert from a lymphocytic granulomatous picture,
19 which you did not see but I think you did not see
20 because the patients that you studied are later, into
21 the same kind of histiocytic sheet like reaction that
22 you report in your patients. I think that is another
23 important point.

24 DR. CLEMENTS: Sir?

25 DR. CASERTA: Vito Caserta from National
26 Vaccine Compensation Program. I would add to that
27 sentence, develop similar lesions without clinical

1 disease. So that it is clear that you are just
2 speaking about the pathology.

3 DR. GHERARDI: You cannot say. How would
4 you assess fatigue and myalgias in --

5 DR. CASERTA: Then I would say similar
6 pathologic lesions. I would make it clear you are
7 talking about the pathology and not about the
8 systemic illness.

9 DR. CLEMENTS: Do you mind if I put an "al"
10 on that?

11 (Laughter.)

12 DR. PERCY: Hi. Maire Percy from the
13 University of Toronto again. I just would like to
14 caution people that we are talking about sort of two
15 things. And I mean this has been alluded to. There
16 is the lesions and then there is the -- you know,
17 the systemic clinical symptoms and I do not think we
18 can dismiss genetics at this point even though we
19 have seen the lesions in a lot of animals.

20 I mean one thing that caught my eye with --
21 one of the slides was the prevalence of possibly
22 autoimmune problems in the people who have MMF so
23 anyway I -- I mean, I come from a genetics background
24 also.

25 DR. CLEMENTS: All right. I hear the
26 comment. I think that comes under the category of
27 what we do not know. We certainly have not heard

1 demonstrable proof that it is genetic yet, have we?
2 So I certainly hear you loud and clear but I think it
3 is in the next group.

4 Miles?

5 DR. BRAUN: You might want to put in the
6 first bullet something about where the lesion were.
7 I think the deltoid was -- because we talked about
8 muscles all over the body but I do not think that is
9 where the crystals --

10 DR. GHERARDI: Yes. Babies have the lesions
11 in quadriceps but --

12 DR. BRAUN: So these are injections at the
13 injection sites?

14 DR. GHERARDI: At the injection site but --

15 DR. BRAUN:: Maybe that is better than
16 injection site lesion.

17 DR. CLEMENTS: Okay. We have dealt with
18 trying to clarify what we think we do know. Are
19 there any other issues that the floor would like to
20 raise about what we have listed on the screen? In
21 fact, when you do start to list it, it starts to look
22 quite impressive and quite substantial, and I think
23 it has been very helpful to hear the papers that have
24 put the background to these -- what might appear to
25 be quite simplistic statements but, in fact, have a
26 very strong science behind them.

1 Okay. Panel, let's have a response from you
2 now that you have been attacked.

3 DR. PLESS: Yes. I will certainly correct
4 the toxicology slide. I think it is still two-and-a-
5 half or two and a little bit times more than the back
6 of the envelope calculation from the MRL.

7 DR. CLEMENTS: So subsequently you do not
8 have a number to --

9 DR. PLESS: 8.8 milligrams in bullet three.

10 DR. CLEMENTS: Here?

11 DR. PLESS: Yes. My next back of the
12 envelope, which I will continue to refine as I get
13 the growth curves and stuff, is 8.8 milligrams.

14 DR. CLEMENTS: Is this where you mean?

15 DR. PLESS: Yes. Is that better? I mean,
16 it is a big difference obviously.

17 DR. CLEMENTS: Okay. What size envelope did
18 you have?

19 (Laughter.)

20 DR. PLESS: This one is slightly bigger than
21 the last one but I will get an even bigger one when
22 we get back before June 1st.

23 DR. CLEMENTS: Okay. I am going to -- I
24 think we need an asterisks here to be confirmed or
25 something, don't we?

1 Because I am sure, Marty, we can get this
2 file copied and distributed if people want to take it
3 away.

4 DR. MYERS: Absolutely. We will correct the
5 spelling of aluminum.

6 (Laughter.)

7 DR. CLEMENTS: We did.

8 (Simultaneous discussion.)

9 DR. CLEMENTS: Have you ever tried writing
10 on the board in front of a class?

11 Sir, another question?

12 DR. CASERTA: Vito Caserta. Can we go back
13 to the MMF slide, please? I am still not happy with
14 that pathological lesions bullet because again I am
15 looking at it from the perspective of a judge and a
16 judge might look at that and take that as proof that
17 this is a real entity that is happening in people
18 that is causing disease.

19 So I thought maybe taking out "pathological
20 lesions" and replacing it with "histological" so that
21 it is clear that you are talking about the histology
22 because pathology could also mean clinical.

23 DR. CLEMENTS: Is that good?

24 DR. CASERTA: And if -- I mean if there is
25 any way we could say something about the clinical
26 picture, which I agree it is -- I do not think we

1 can. If someone could help me I would appreciate it.
2 Thank you.

3 DR. CLEMENTS: Okay. Panel, any last shot
4 at this before we call it a day and hand it over to
5 the next group?

6 Miles?

7 DR. BRAUN: The thing about the patients
8 with MMF lesions have -- it seems to me that the way
9 this study was done, if I understand it right, it was
10 actually the other way around. People with diffuse
11 myalgias and fatigue appearing subsequent to
12 immunization because those are the ones you started
13 with, then they had the -- you found the lesions in
14 them.

15 I think -- and -- it is kind of -- it could
16 be read that the way it is written is patient -- you
17 did not really survey people who had MMF lesions.
18 You did not start with a survey to get a group of
19 people with these lesions. You started with people
20 who had sick. And somebody might -- although we
21 heard the whole story and I think it is clear to
22 people in the room as a stand alone it might -- it is
23 really just reversing the order that might be a
24 little more clear or less open to misinterpretation.

25 I do not know what the group thinks.

26 DR. GHERARDI: I am not sure I understand
27 what you intend to say. Maybe it could be more

1 precise to say that it is not subsequent to any
2 immunization but to aluminum immunization.

3 DR. BRAUN: The way I would suggest, and
4 again I am -- patients with -- whatever you start
5 with, the patients with diffuse myalgias and fatigue
6 appearing subsequent to immunization had MMF lesions.

7 DR. GHERARDI: No. The story was not this
8 way. It was exactly the reverse way. It has been
9 done. We collected all patients with the lesion and
10 we checked what they had as clinical symptoms. So it
11 was exactly this way.

12 DR. BRAUN: But I think what was said in
13 some of the comments that came from the group was to
14 look at asymptomatic people and to screen people
15 without symptoms. You said that was unethical to do
16 in France and I think the way it is written there,
17 somebody could infer that that was the approach that
18 was used.

19 DR. GHERARDI: But we have no -- at the
20 moment we have no evidence that people without
21 symptoms have, indeed, this lesion in their muscle.
22 It is extrapolated from animal studies but at the
23 moment I cannot say that. Scientifically it cannot
24 be said today.

25 DR. MYERS: That is why I suggested saying
26 reported patients.

1 DR. CLEMENTS: Okay. I sense that we have
2 come more or less around to this discussion. I think
3 there is other opportunities to --

4 DR. GELLIN: Just one --

5 DR. CLEMENTS: Okay. -- other opportunities
6 to have discussions in other areas. We will give
7 Bruce the last word.

8 DR. GELLIN: Well, it is really following up
9 on Miles' comment from earlier this morning of -- and
10 this may fall somewhere between this panel and the
11 next one. But should we be describing a preliminary
12 case definition for this entity? Because if it is
13 going to get into trying to see what survey -- how to
14 do surveillance for this to try to determine whether
15 or not it is elsewhere or what, and is that a role
16 for us to come away with at this meeting?

17 DR. CLEMENTS: Can we say for the last one
18 there is no final case definition at this point? As
19 epidemiologists in the room, I feel, as well, that
20 that is a vulnerable point.

21 DR. _____: (Not at microphone.) I am
22 not sure everybody would agree.

23 DR. CLEMENTS: Okay. Mr. Chairman, I will
24 hand this back over to you and if you like I will get
25 the file to the secretaries for copying for people to
26 have.

27 DR. MYERS: Excellent.

1 DR. CLEMENTS: Thank you.

2 (Applause.)

3 DR. MYERS: Well, that was, I would say,
4 well done.

5 Our next panel discussion, which is really
6 what we do not know, and we tried to focus them a
7 little bit and say let's try and establish a research
8 agenda. Dr. Dennis Murray from -- who is professor
9 of pediatrics and human development at Michigan State
10 University has agreed to moderate for us and the
11 panel will consist of Michael Gerber from NIH, Alison
12 Mawle from the CDC, Francois Verdier and Alan Brenner
13 from Boston University.

14 PANEL DISCUSSION - WHAT WE DON'T KNOW:

15 ESTABLISHING A RESEARCH AGENDA

16 MODERATOR: DENNIS MURRAY

17 DR. MURRAY: Okay. Well, because we are
18 doing what we do not know, this is a much more
19 difficult task and we are not going to show any --
20 unless one of the panel members has something that
21 they are planning on showing, but I think it would be
22 helpful to utilize some of the same areas that we
23 have already talked about.

24 When I was thinking about this last night I
25 was thinking that Dr. Hunter, who opened up this
26 symposium, came up with a very interesting comment

1 and that was pervasive uncertainty and I certainly
2 have felt that way through this conference.

3 I keep remembering back to some statements
4 that we utilize in pediatric vaccine safety material
5 all the time and for a vaccine to be useful its
6 benefit must outweigh the risk of its use and so as a
7 corollary, therefore, for a component of a vaccine to
8 be useful as opposed for an adjuvant as a component,
9 it should be -- its benefit should outweigh the risk
10 of its use as well.

11 And I also want to mention about a paper
12 that was done by Robert Edelman in 1980, which seems
13 like a long time ago, 20 years, but as I have read
14 that paper over and over, some of the same kinds of
15 things at least help me frame a little bit of my
16 thinking about some of this.

17 He came up with 13 issues regarding
18 adjuvants and I just want to read five of them:

19 That an adjuvant's immunopotential should
20 not be so excessive as to induce hypersensitivity
21 responses in the host's own tissue.

22 That the adjuvant should not induce allergic
23 hypersensitivity to itself or combined with natural
24 serum antibodies to form immunocomplexes.

25 An adjuvant should act to potentiate the
26 vaccine without inducing a diffuse array of

1 immunological events not involved in the
2 immunospecific response.

3 That the adjuvant should be biodegradable,
4 eliminated within weeks, months, from the body.

5 And then, finally, that there should be a
6 low incidence of reactions if and when they occur and
7 these must be acceptable.

8 That epidemiological studies must be
9 designed to detect low incidence of phenomenon. And
10 those of you who were at the combination vaccines
11 meeting put on by the NIH in February will remember
12 that this was a major point of discussion about the
13 low incident reactions and perhaps that is one of the
14 things that we may actually be dealing with here
15 today, although as I totally agree with everyone
16 else, I am not sure we have causality.

17 I would like to give the panel members a
18 chance to make specific comments about what we do not
19 know. It would be helpful, I think, panel members,
20 if we could do it in a way that they -- that Dr.
21 Clements has already started with perhaps toxicology,
22 MMF, in terms of the categories, immunology and then
23 local reactions if anyone has any comments about the
24 latter.

25 So who would like to begin?

26 Francois?

1 DR. VERDIER: I can start with toxicology
2 aspects. Aluminum was developed several years ago
3 and, therefore, we have a limited number of updated
4 toxicology data on aluminum. We have a huge amount
5 of clinical results but we have a limited number of
6 data, for example, regarding the pharmacokinetics of
7 aluminum after intramuscular injection.

8 So this also leads to the fact that for new
9 adjuvants we have to think and to set up a correct
10 toxicological evaluation. This is perhaps one lesson
11 from this history evaluation.

12 The second point, which is regarding rare
13 immune reaction like hypersensitivity reaction and
14 aluminum, we have no definitive conclusion about the
15 interaction between the aluminum and the immune
16 system. Can the aluminum trigger hypersensitivity
17 reaction, abnormal immune reaction?

18 In the MMF story we have a limited number of
19 people developing perhaps clinical symptoms. As it
20 is in a limited number of people, we can think about
21 a rare immune disorder and we do not know if the
22 aluminum is a triggering factor or not.

23 The other thing, also, that we do not know
24 is health status of the patient but probably this
25 will be addressed by one of my colleagues. I think,
26 as a toxicologist, we try to use animal models to
27 predict potential toxicity. It is very difficult to

1 design animal models if we do not know exactly what
2 we have to design.

3 Do we have in this case impairment of
4 macrophagic function that we could perhaps reproduce
5 in animals? We do not know.

6 The last point will be the role of
7 intramuscular injection. If we look at the timing of
8 occurrence of this reaction, does this correspond to
9 some recommendation to shift from sub-Q injection to
10 intramuscular injection?

11 In the animal data we have an inflammation
12 reaction which is between the muscular fibers and not
13 limited to the fascia. Why do we have a fascitis and
14 not a myositis? Why it is limited to the periphery of
15 the muscle?

16 Is it due to a wrong intramuscular injection
17 in a limited number of patients? Is it due to an
18 evolution of a general muscular reaction to the
19 periphery of the muscle? I have no answer. I do not
20 know if Omar (?) has already some clues concerning
21 this very precise localization of the macrophage
22 infiltration.

23 I think that is all.

24 DR. MURRAY: Michael?

25 DR. GERBER: Your point about us knowing
26 very little about the pharmacokinetics of aluminum, I
27 think, is well taken but you seem to be suggesting

1 that it is too late for aluminum and that we need to
2 focus on the newer adjuvants. It seems to me that
3 aluminum is going to be -- continue to be used for
4 quite some time and that it is incumbent on us to
5 learn something about the absorption, the
6 distribution, the excretion in aluminum, as well as
7 the new adjuvants that are going to be coming along.

8 Now being a toxicologist, it is not clear to
9 me how exactly one would do that, how easy, how
10 difficult that would be, perhaps we can get some
11 input from the toxicologists. But I think that given
12 that we will be using aluminum we should try to
13 determine that information.

14 DR. VERDIER: Yes, I fully agree with you.
15 For all new -- for all chemical entities given as a
16 pharmaceutical, we need to know absorption,
17 distribution, metabolism and elimination. These kind
18 of data are missing for aluminum or not totally
19 missing but are incomplete for aluminum.

20 DR. MURRAY: Alison, some other comments
21 about toxicology?

22 DR. MAULE: Yes. This is toxicology, too.

23 I think I certainly had a sense of deja vu
24 after the thimerosal last year and the lack of
25 information that we have on the pharmacokinetics.

26 One issue that I would like to touch on is
27 what exactly does the MRL mean in this kind of

1 context? In that great tome that we have from ATSDR
2 there are some generalized comments about what the
3 MRL means and I would just like to quote a couple of
4 them to you.

5 One is that the MRLs are below the levels
6 that might cause adverse health effects in the people
7 most sensitive to such chemical induced effects.

8 That exposure to a level above the MRL does
9 not mean that adverse health effects will occur and
10 the resulting MRLs that are calculated may be as much
11 as 100-fold below levels that have been shown to be
12 nontoxic in lab animals.

13 Now the presentations we heard yesterday
14 clearly demonstrated that there are huge gaps in our
15 information about what we know about the toxicology
16 of aluminum. I would like to just reiterate what
17 Neal Halsey said, the differences between adults and
18 infants, there appears to be practically no even
19 animal data, never mind human data.

20 The last thing I would like to quote is that
21 these MRLs are intended as a screening tool to help
22 public health professionals decide where to look more
23 closely and I would say that in this particular
24 context that is all the MRL is telling us. The fact
25 that you get a little spike that goes above the MRL
26 does not tell you that you have got a toxicological
27 effect and I think that we need to be very careful

1 about making those calculations and saying, okay, it
2 goes above and whether it is an intermediate one or a
3 chronic one or an acute one. It is a screening tool
4 and the point is well taken. We need to look more
5 closely.

6 I was very taken with the presentation by
7 Bruce Fowler of the binary effects. I think we need
8 to bear in mind that we are not only putting aluminum
9 in here, we are putting in mercury. I took home from
10 his presentation that often these effects are
11 additive but there is always the possibility of
12 synergy. We know nothing about that.

13 The other thing that was very clear from his
14 presentation is that there are techniques for
15 studying these things in humans. Looking at
16 biomarkers. Clearly the stress protein analyses that
17 he presented, which were not on aluminum, could
18 easily be done in human infants. They could be done
19 in human adults.

20 The urine analysis, the same kind of thing.
21 You could use microarray technologies to look at
22 induction of genes after vaccination. It is very
23 clear that the body has efficient mechanisms for
24 removing metals from the circulation.

25 We have not done those studies in infants in
26 terms of mercury or aluminum. I have to say I think
27 that going back to the combination vaccine meeting

1 that the issues of aluminum and mercury are one of
2 the strongest arguments I have heard for combination
3 vaccines in a long time and that was not even
4 mentioned, as I recall, at that meeting, and I would
5 like that to be a major take home message.

6 I think I will stop there for now. I have
7 some other comments.

8 DR. MURRAY: Alan, comments on toxicology?

9 DR. BRENNER: My comments on toxicology will
10 have to be limited to my knowledge and experience as
11 a clinical rheumatologist and I guess what that means
12 is I have to look at the toxicology of aluminum in
13 terms of what we know about aluminum toxicity in the
14 clinical world. We know about aluminum toxicity as
15 it relates to dialysis. We know about aluminum
16 toxicity as it relates to inhalational toxicity,
17 pneumoconiosis, which have been produced, and which
18 by the way in the studies that I have seen look to be
19 very local reactions. So that even a high dose of
20 inhaled aluminum does not seem to produce systemic
21 response. Treatable with steroids, looking a bit
22 like sarcoidosis but without systemic markers.

23 I would also like to say on the other side
24 of this kind of metallic toxicity that studies that
25 have been done with other materials or actually
26 reports of systemic toxicity from other similar
27 materials show responses quite different from MMF.

1 Diffuse granulomatous reactions, for instance. There
2 is a nice paper that was reported on a patient after
3 hip replacement as an example who developed a diffuse
4 granulomatous disease with granulomatous hepatitis,
5 lymphadenopathy, splenomegaly, fever, weight loss,
6 and the particles that were recovered from spleen and
7 lymph node were probably titanium and polyethylene,
8 although that is a little bit unclear.

9 So I know I am getting a bit far afield of
10 aluminum but what I am saying is that the systemic
11 toxicity studies that have been done that relate to
12 other relatively similar materials look little like
13 what we have been discussing in the past couple of
14 days.

15 DR. MURRAY: Okay. Let's move on to MMF as
16 they did in their group. Who would like to tackle
17 some unknowns about MMF? Does someone want to go
18 first?

19 DR. MAULE: I would just like to say having
20 -- this is the first time I have heard the MMF
21 presentation. I think that I am reasonably convinced
22 that there is -- the lesion is there. It contains
23 aluminum hydroxide. I would even go so far as to say
24 I am convinced it comes from vaccines. What I am not
25 convinced about is that it causes the clinical
26 entity. And I think that we clearly agree with the

1 last panel on that. At least I agree with that last
2 panel.

3 Coming from an immunological background, I
4 am surprised that there are no studies on the
5 macrophage function of these patients at this point.
6 Now I know that those are planned down the line but
7 as an immediate reaction it looks to me like a
8 macrophage function problem and possibly one that has
9 never been described before. And it is rare, which
10 would be consistent with that. So that to me is one
11 big area that we do not know.

12 I would also just like to make a comment on
13 the chronic fatigue syndrome overlap. I spent a fair
14 amount of time working on chronic fatigue syndrome
15 and I would just like to comment in terms of the
16 overlap.

17 I actually showed your paper to our chronic
18 fatigue syndrome group to get some comments on that
19 and their number one comment was that you have lab
20 findings which would exclude, at least from the CDC
21 definition, any overlap with chronic fatigue
22 syndrome.

23 So I just want to put that out there.

24 DR. MURRAY: I would concur with that as
25 well. That was one of the things on my list.

26 Mike?

1 DR. GERBER: The observation that this
2 disease is being reported only in France, and a
3 suggestion by Neal Halsey -- the observation that MMF
4 is being reported almost solely from France and the
5 suggestion from Neal Halsey that one could do
6 biopsies on cadavers from countries outside of
7 France, I think, is something that definitely should
8 be pursued and I think could be done fairly easily.
9 In fact, you could attempt to target cadavers of
10 soldiers or health care workers, people who you
11 clearly knew had been immunized at some time in the
12 known past. I think the information from those kinds
13 of studies would be very enlightening.

14 DR. MURRAY: Francois?

15 DR. VERDIER: Just a small comment which is
16 the role of the antigen in the MMF because all the
17 macrophages are here to clean the body from external
18 particles. There are not only vaccines as external
19 particles. So could we have MMF with other
20 xenobiotic or is it limited to vaccine. And in this
21 case if it is limited to vaccine, do we have a role
22 of the antigen in the MMF issue?

23 DR. MURRAY: I think that is a major thing
24 that I had on my list is what is the -- if there are
25 any vaccine antigen there, what do we know about the
26 material, other material that is there.

27 Alan?

1 DR. BRENNER: I would like to comment on
2 what you just said, Dr. Verdier. I look at
3 macrophages in a system like this not as scavengers
4 but as antigen presenting cells and my suspicion from
5 the way I look at this lesion and from the other
6 studies that I have seen is that this is not a
7 lesion. I think that is the first thing maybe we
8 have got to make go away. I think it may be the
9 response to adjuvantated vaccine, and I think it may
10 be an appropriate response, and I think that the
11 tissue findings may well belong there as the first
12 manifestation of response to the antigen itself.

13 When you think about it, if you inject
14 antigen and you do not get any kind of
15 immunoinflammatory response, what is the antigen
16 doing? How do you develop an antibody? I have never
17 really thought about it before all of this but how do
18 you develop an antibody reaction? Where is it going
19 to come from?

20 I think that this may well be -- this
21 finding may be the first thing that one sees. I also
22 think again that animal studies have shown long
23 persistence of this histologic finding well beyond a
24 month, certainly up on to six months, and I suspect
25 longer except the animals have been sacrificed at
26 that point to look at the pathology.

1 I think that the other things are that --
2 so, therefore, I do not think that this represents an
3 impairment of macrophage function. I think it
4 represents appropriate macrophage function.

5 I would like to also look at this MMF
6 clinically and I will say that I would applaud the
7 incredible amount of work that you guys have done at
8 defining something that clinically may be relatively
9 new.

10 In thinking about have I ever seen this as a
11 clinical entity, I think I am going to answer that --
12 the question as yes. Over the last couple of years
13 I think that many of us have recognized an ascending
14 myalgic syndrome. I will tell you that in the
15 patients that I can think of -- and there is probably
16 not more than a handful but I can tell you that they
17 are immunologically normal, that their muscle enzymes
18 are normal, that the diffuseness and the myalgic
19 nature rather than muscle weakness or muscle
20 inflammation has led me certainly away from even
21 considering biopsying them.

22 One of the problems that we will have in
23 this country in even attempting to duplicate your
24 results if we wanted to would be, as I said earlier
25 today, the way that we do muscle biopsies is so
26 different. Our criteria for doing muscle biopsies is
27 different and I have a feeling that in the United

1 States our ability to track vaccines when they have
2 been given and where they have been given is a whole
3 lot less rigorous than it is in France.

4 You know, the fact that everybody seems to
5 be vaccinated in the nondominant deltoid muscle or in
6 children in the nondominant quadriceps makes things
7 simpler for you and yet more difficult because as
8 that is the only place you biopsy and that is the
9 only place you give vaccine -- well, that is -- if
10 that is wrong that is fine.

11 But that was what I understood from what --
12 from the papers that you have written, is that your --
13 - traditionally you do muscle biopsies in the
14 nondominant deltoid muscle, which is also where
15 traditionally you give all of your vaccines.

16 Therefore, anything that is going on in the
17 nondominant deltoid muscle is going to show up,
18 whether it be pathologic or appropriate. But I do
19 have to say that clinically I understand the
20 ascending myalgia syndrome. I also understand
21 response to corticosteroid, which is what I have
22 done.

23 I have also found at least in our practice
24 that this tends to be a self-limited problem, that I
25 do not see people with chronic ongoing muscle pain
26 with reduced exercise tolerance, with severe fatigue,
27 and that I find that much more common in fibromyalgia

1 patients and I am very glad to see that you
2 specifically did physical examination to exclude the
3 fibromyalgia group.

4 DR. MURRAY: Let's move on to immunology.
5 Specific comments about what we do not know about
6 immunology other than the comment that there is no
7 definite data about aluminum in the immune system.
8 Anything else the panel wants to comment about?

9 DR. MAULE: Okay. One comment that I heard
10 yesterday was the issue of whether or not -- since
11 we know aluminum does skew the immune response
12 towards a Type 2 response, whether that has a global
13 effect, if you like, rather than just an effect for
14 the given antigen that you are working with.

15 I think that we are far too early to say on
16 that particular issue.

17 There have been many hypotheses out there
18 that I have heard that what we do in the developed
19 world has clearly -- has maybe -- I will not say
20 clearly but the hypothesis is that we have skewed
21 towards a Th2 response and that maybe is what has
22 caused our explosion of asthma and allergies.

23 The data lags far behind and I want to put
24 in a plea for not blaming adjuvanted vaccines at this
25 point. I think that there are far -- there are many
26 other ways that that skewing could have happened that
27 the vaccines do not necessarily have any role to play

1 in it. I will not say they do not either. I mean,
2 we do not know if that is a possibility. There are
3 clearly animal studies that can be done that can look
4 at those kind of issues.

5 And I know that there are human studies that
6 have been done, notably Graham Rook in the U.K. has
7 taken this hypothesis to a reasonable extreme and
8 has, I believe, a candidate vaccine for some soil
9 bacteria that are supposed to skew the response in
10 the Th1 direction.

11 So, you know, there is definitely people out
12 there looking at these kind of things but I think we
13 need to be very careful about jumping down on
14 vaccines and adjuvants before we have that data.

15 On the other side of that, I think it is
16 reasonably clear that we need some good Th1 type
17 adjuvants. The triumvirate, if that is the right
18 word, of HIV, malaria and TB, for which we are
19 hunting for vaccines, it is abundantly clear that you
20 are going to need a Th1 component to that response
21 and at this point we have no licensed adjuvants that
22 do that. So those are both areas that I would say we
23 need much more knowledge in.

24 DR. MURRAY: Well, I think the studies that
25 Dr. Verdier has planned is also going to be very,
26 very helpful in terms of looking at macrophage
27 immunology as well.

1 Any specific comments about local reactions
2 before we open it up for comments?

3 DR. VERDIER: I have probably just one
4 question. It seemed that MMF is occurring with the
5 change in the route of administration and in the
6 symptoms you have myalgia and marked fatigue. I
7 would like to know if epidemiologists have noted an
8 increase of myalgia and marked fatigue after the
9 change from the sub-Q to the IM injection because we
10 do not have data in the U.S. from biopsy in the
11 deltoid muscle but I am sure that we have data about
12 the number of myalgia, number of arthralgia and
13 number of marked fatigue reported since the last
14 seven years.

15 DR. MURRAY: So something we need is data on
16 switching from sub-Q to IM, more information on
17 myalgia, increased myalgia?

18 DR. BRENNER: I have a couple of answers to
19 that or at least partial answers. I can tell you
20 that, number one, the most common complaint in a
21 general practitioner's office is fatigue. So to
22 separate that into its various meanings and
23 manifestations is going to be a very difficult task.

24 Also myalgias -- if you wanted to look at
25 myalgias in the modern world, there are so many
26 specific causes that have come up in the past few
27 years. For instance, the lipid lowering drugs. You

1 know, the most common side effect of lipid lowering
2 drugs is myalgias. So to try -- and it is -- they
3 are really occurring in an age and population -- in
4 an age of population relatively the same as we are
5 talking about in MMF.

6 So again to try to separate out some of
7 these things I think would be extremely difficult.

8 Immunologically there are a couple of things
9 that are of interest to me here. The first thing is
10 that 34 percent of the patients in your group had
11 some form of definable immuno-inflammatory condition.
12 And the reason that is of interest to me in this
13 sense is that we as rheumatologist have done the
14 opposite studies.

15 We have looked in our patients particularly
16 with lupus and also patients with rheumatoid
17 arthritis to try to determine if vaccination caused
18 any kind of definable and I can tell you that the
19 answers going back to Evelyn Hess in about 1972 are
20 no, that vaccination is in general safe, that we do
21 not see any specific increased incidence of -- for
22 instance, flaring of rheumatic problems. That these
23 are patients who are followed, I would hope, fairly
24 carefully so that if new entities were coming up I
25 would think that we would be the first ones to find
26 them.

1 I know that that is backwards thinking but
2 it is true that the issue has been raised in our
3 societies on the opposite side. I can also tell you
4 a little bit -- at least one experiment that was done
5 looking at what happens when you put aluminum
6 adjuvant with vaccine into joints because that study
7 has been done, too.

8 What happens is if you put aluminum
9 hydroxide adjuvant into a joint nothing happens.
10 There is no particular inflammatory response in the
11 joint. If you put aluminum lactate, which is rapidly
12 and freely disbursed out into the system, then there
13 is a systemic response to aluminum lactate and you
14 get an articular inflammation as a result of
15 injection.

16 So again the more stable localized kind of
17 aluminum adjuvant seems to stay put and at least in
18 the one experiment I can quote did nothing.

19 DR. MURRAY: Yes. There was a paper done in
20 New York about looking at aluminum lactate versus
21 citrate and there are definite changes that occur
22 with the lactate form. The anion appears to make a
23 major difference on some of these things.

24 DR. BRENNER: Yes.

25 DR. MURRAY: Comments from the panel about
26 local reactions. Anything specific?

1 DR. MAULE: I guess I would just like to
2 reiterate from the proposed anthrax studies that we
3 have a potential opportunity there to look at what
4 aluminum adjuvant does alone within a series but
5 compared with a saline placebo and I think that is a
6 very interesting idea that could provide us with some
7 information here.

8 DR. MURRAY: Before coming, I had pulled a
9 lot of articles, and there is a tremendous amount of
10 literature, as I think Alan alluded to, regarding
11 reactions from people getting aluminum. They are
12 throughout the literature even back in the 1970's and
13 '80s with granulomas and all kinds of reactions.

14 So I think we know that it can cause some
15 local reactions but I agree the Army studies will
16 probably be beneficial.

17 All right. Let's open it up for questions
18 from the audience here to help us put this together
19 and question what our panel has discussed.

20 Dr. Gherardi?

21 DR. GHERARDI: My feeling is that we must
22 have the questions that has to be addressed at the
23 moment, the first one to my eyes is to determine what
24 is the normal residence time of the aluminum
25 granuloma in the human muscle. This is absolutely
26 mandatory.

1 Now as to whether the aluminum causes the
2 symptoms, systemic symptoms, or finally reveals
3 individual susceptibility to have an adverse
4 reaction, which could be caused by any other agents,
5 including infectious agents, this also is a question
6 that has to be addressed.

7 But first is the detection in the deltoid
8 muscle of MMF lesion an abnormal finding is the first
9 question.

10 DR. MURRAY: Other questions?

11 DR. ALVING: I just would like to get --
12 this is Carl Alving. I just would like to get a
13 clarification. Is ascending myalgia a required part
14 of the syndrome or can it simply be diffuse?

15 DR. GHERARDI: Well, a large majority of
16 patients have such a syndrome but some have myalgias
17 that are simply diffuse and do not correspond
18 strictly to this pattern.

19 DR. BRENNER: I would like to comment again.
20 Clinically -- you will not have to respond to this,
21 Dr. Gherardi. I am going to agree with you.

22 I think that ascending myalgias are a
23 relatively unique clinical syndrome and I do not
24 recall seeing it over the last 20 plus years until
25 recently and I really have not known to what to
26 ascribe -- I still do not know to what to ascribe it

1 but I think it is different than the clinical
2 presentation of almost anything else I know.

3 DR. GHERARDI: I agree.

4 DR. BRENNER: So I think it is unique and
5 for me it would be something that would make me think
6 about, oh, maybe doing gallium scans on these
7 patients. I do not think I will go to biopsy them.
8 Although one thing -- one suggestion I would have, if
9 you wanted to consider biopsying of normal people,
10 would be that the lesion looks to me to be large
11 enough so that needle biopsy might be a way to look
12 or at least a way to screen.

13 DR. GHERARDI: I disagree with the idea of
14 needle biopsy because the lesion is focal. If you
15 want to have a large chance to have it make open
16 biopsy but if you have ascending myalgias in the
17 context of fatigue, before getting -- or addressing
18 the question of possible biopsy, ask the patient of
19 any immunization in --

20 DR. _____: That goes without saying.

21 DR. GHERARDI: -- and if the response is
22 yes, I encourage you to perform the biopsy at the
23 site of injection.

24 DR. MURRAY: Two final questions.

25 DR. MUSIC: Stan Music, Merck. I would like
26 the panel's reaction to the temporal association that
27 has been made with subsequent to vaccination by eight

1 years or several weeks or whatever and feel that we
2 need some clarification studies on that as well by
3 looking backwards from other groups, from other
4 biopsy groups, from lots of points of view, to
5 understand the implications because that is just a
6 convenient counting point, and it has -- it implies
7 no positive or negative association in terms of
8 cause. It is just something you count.

9 DR. MURRAY: Is there a specific comment
10 from panel members?

11 DR. MAULE: I mean, I would agree with that
12 and, I mean, I think that certainly from sort of a
13 gut reaction, eight years from injection, it seems to
14 me an incredibly long time but that still goes back
15 to the comments we were making earlier. It is
16 critical to know what is "normal" when you put in a
17 depositive aluminum. I think those are the studies
18 that I would want to see done.

19 Just a comment off the top of my head. I am
20 very interested to hear my colleagues' comments on
21 ascending myalgia. I am definitely colored by my
22 experience with chronic fatigue syndrome here but you
23 may well remember it used to be chronic EBV until --
24 because these patients were selected by having a high
25 titer of Epstein Barre Virus.

26 However, if you went out and looked for high
27 titers of Epstein Barre Virus there was no chronic

1 fatigue syndrome. If you took people who had a tight
2 case definition of chronic fatigue syndrome, a lot of
3 them did not have high titers of EBV, and that
4 association has clearly gone away even though it is
5 clear that there is a subset of people who have
6 essentially chronic EBV who definitely do have
7 chronic fatigue syndrome. That is not the number one
8 part of the definition.

9 So here I am hearing this ascending myalgia.
10 I am not a clinician. This means nothing to me about
11 frequency but it does make me think that that is
12 another way to get at this. That if clinicians are
13 seeing ascending myalgias maybe they would find other
14 people -- I mean, other -- people who you could take
15 as a group and then ask the question about
16 vaccination.

17 I think that would be an interesting way at
18 getting at the vaccination issue.

19 DR. MURRAY: Gherardi, last word.

20 DR. GHERARDI: I agree. I want only to make
21 a comment about the chronology. Ninety-eight percent
22 of patients had symptoms subsequently to the
23 immunization containing aluminum. It cannot be said
24 that this means nothing.

25 DR. MURRAY: Thank you, panel members.

26 DR. MYERS: I thought it would be a tough
27 task to follow the first panel but this panel has

1 done a wonderful job and I think Dr. Gherardi
2 summarized it very well when he said that the first
3 question is that we must answer whether the detection
4 of MMF in the deltoid muscle is normal or not. I
5 think that is sort of the core issue.

6 So thank you all very much.

7 (Applause.)

8 DR. MYERS: One of the difficult things that
9 we all deal with all the time and one of the
10 difficult -- one of the issues that is problematic
11 with dealing with something like MMF, for example, is
12 how we communicate information and how we communicate
13 information that we are not clear about. Whether --
14 when we have meetings such as this where we take on
15 issues and we debate them and we come up with next
16 steps, what we do with that.

17 And so we asked Max Lum to come and talk to
18 us and he picked his title, which I just thought was
19 a great one, "Communicating Health Messages: The
20 Good, the Bad and the Ugly."

21 Max started his career with Sports
22 Illustrated. Something I did not know until I saw
23 your bio. And he has worked with the CDC for the
24 past 15 years in the field of health education and
25 health communication.

26 He is currently Director of the National
27 Institute of Occupational Safety and Health

1 Communication Group and serves as Chairman of the
2 Surgeon General's Subcommittee on Risk Communication
3 and Education.

4 He has provided a lot of assistance to a
5 number of groups, including the Department of
6 Defense, in risk communication and he spoke recently
7 at the National Vaccine Advisory Committee. So thank
8 you very much.

9 COMMUNICATION HEALTH MESSAGES:

10 THE GOOD, THE BAD AND THE UGLY

11 MAX LUM

12 DR. LUM: Thank you very much for having me
13 here today.

14 Martin opened this meeting and he talked
15 about people liking to come to these meetings because
16 they do not know much about the topics that are
17 presented. And I think to be fair with you, he was
18 talking about me, I think, at this point.

19 My area of expertise, I guess, is in risk
20 communication and I have been in the field practicing
21 risk communication for CDC and my day job with NIOSH
22 really is in the Office of Communication working with
23 workers and employers and health professionals and
24 with the Surgeon General, most recently working on
25 endocrine disrupters, Gulf War issues, and that is an
26 ongoing activity.

1 Now what I will try to do today in a brief
2 time is to present some information about what may
3 help communicate information to the general public.
4 Generally we are talking about communicating risk
5 information but in many cases we are communicating
6 health information.

7 (Slide.)

8 By saying "risk communication," we are
9 making the assumption, I think, that it is always
10 risk information. It is a broader issue, I think, of
11 health information. It is very important now, I
12 think, to understand and I think that John Clements
13 mentioned this in his opening presentation.

14 (Slide.)

15 This is a new era. People are concerned.
16 There is a high level of interest in health problems.
17 The public acceptance in many cases depends on their
18 participation and understanding and your personal
19 credibility. Often you are the message if you are
20 delivering the particular message that you have to
21 deliver. Again the bottom line here is that it may
22 increase the likelihood of finding a solution. It
23 does not always but it may. But it does improve, I
24 think, the quality of the solution and the
25 communication.

26 (Slide.)

1 This is a longer definition of
2 communication. I think definitions are a good place
3 to start when we talk about risk communication. This
4 was a definition that I found in the National Academy
5 of Science buried a couple of years ago. It is a
6 good one.

7 Any public or private communication that
8 informs individuals about the existence, nature,
9 form, severity or acceptability of risk. It has one
10 huge flaw, I think, in this definition. We like it
11 because this is us, right. We are communicating what
12 we know. We have spent a lot of time figuring out
13 what we know, boiling it down, and this is us in a
14 way. We are doing this. We are talking about
15 nature, form, severity of risk. We have heard it a
16 lot at this meeting. But for public communication, I
17 think there is one important piece that is sort of
18 missing from this.

19 (Slide.)

20 I think we are talking now in the new era
21 really of exchange of information. It is that two-
22 way communication that is occurring that is
23 absolutely, I think, characteristic of this new
24 information age. How well do we listen? How well is
25 that two-way channel really working in terms of our
26 messages?

1 I am not sure the internet, which we are all
2 embracing, and I am right there embracing it for our
3 agency, really does not provide a good receipt of
4 information. I mean chat rooms are difficult.
5 It does not really help necessarily. It can and I
6 think we are working on that.

7 But basically I think that one of the take
8 away messages I would like to leave with you is this
9 exchange of information really has to be done.

10 (Slide.)

11 I am terminally right brained so I have to
12 see things, you know, in pictures or charts. Here we
13 have the owner of the dog talking to the dog. "Okay,
14 Ginger. I have had it. Stay out of the garbage.
15 Understand, Ginger, stay out of the garbage or else."

16 (Slide.)

17 And, of course, this is what Ginger hears,
18 "Blah, blah, blah, Ginger, blah, blah, blah, Ginger."

19 I like this slide for two reasons. One, it
20 reminds me of my children. I think that is -- which
21 is the highest form of risk communication, I think,
22 the environment. But also because I think we
23 identify with this person. All right. We are --
24 they just do not get it. Right?

25 We are -- they are not listening. They do
26 not understand the science. They do not -- they are
27 preoccupied. They are worried about perception.

1 They are not listening to what I am saying but if you
2 work with advocacy groups and I think the
3 anti-vaccine advocacy organizations are in that
4 category but certainly the super fund groups that
5 have been formed, they tell us that this is them.
6 They are communicating to us and we are just not
7 listening.

8 So I think again this highlights the
9 importance of the two way exchange of information.

10 (Slide.)

11 And knowing your clients, whether they are
12 women that are pregnant, whether they are health
13 professionals.

14 (Slide.)

15 Is that the client? Is that the client that
16 we are going to target? Is it the kids themselves?
17 In some cases I think it will be. Is it the parents?
18 Who is it that we want to reach with this
19 information? I think that is the first thing we have
20 got to decide because the channel, the method may be
21 different with each one of these.

22 I would say that that would be a very
23 important understanding about who we are trying to
24 reach.

25 (Slide.)

26 Kids -- you know, there is good examples. I
27 think ATSDR, when I worked at ATSDR, where we

1 actually worked with kids directly to get to the PTA,
2 to get to their parents at some of these super fund
3 sites.

4 And I think again we -- a whole different
5 set of materials available for children than
6 essentially that we would use with health
7 professionals but again thinking it through about
8 where we were going with this.

9 (Slide.)

10 So the individual is what we often think
11 about, I think, as the target. You know, it is -- I
12 saw a slide that showed clients was kids basically.
13 Okay. But there are networks, social networks that
14 we are going to work with. What are those networks?
15 Are we going to work with the anti-vaccine groups?
16 How do we want to work with them? They do, in fact -
17 - in fact, I did my research before I came here and
18 checked out several. They do link to CDC sites.

19 Do we know very much about what they want to
20 know? Have we contacted? Are we working with them?
21 Is there a way to work with them? Organizations,
22 also, and then the media of course.

23 Now I am not going to say much about the
24 media here. Just a couple of points but if you are
25 going to work with the media -- I mean, the visual
26 media, the TV media, my suggestion would be get
27 trained. Okay. Spend some time, spend some money,

1 and go get some training about how to work with the
2 TV media. Less important with print media although
3 the same principles possibly will apply.

4 I think when you are on camera you are much
5 more the message than you are when you are not on
6 camera and that is a whole different set of
7 requirements that are needed.

8 (Slide.)

9 Again, well, what is it we do with
10 audiences? What do we need to know? I think again
11 what is their views? What are their views regarding
12 the hazard? What are hazards? What do they call
13 hazards? Can they make the changes? If you provided
14 them the right information, are they capable of
15 making the changes that you would want or
16 understanding what you are trying to tell them?

17 This is particularly important in worker
18 communication. You know, do they really understand
19 what we are trying and are they able -- do they
20 have the power really to make the changes that we
21 have asked.

22 Again, attitudes. What is your -- their
23 particular behaviors? I would guess it varies quite
24 a way across the board. Are they defensively
25 avoiding or reacting against the issue? I think that
26 is fairly clear if you look at some of the internet
27 sites.

1 (Slide.)

2 What are the sources that are preferred by
3 these groups? What type of messages may reach them
4 better and what channels?

5 (Slide.)

6 Again, I think working with the media --
7 again this is my only media slide -- I think it is
8 that you have to know your media. Is it local media
9 you are going to work with? They are a little bit
10 easier? Is it national media? What do you need to
11 do to prepare? Know the market. Are you trying to
12 reach a local market? Are you just talking about a
13 particular area that you want to try to reach as a
14 demonstration project to see if what you are doing is
15 reaching your public?

16 Provide the facts. Make access -- this is
17 so important, I think, is access. The press has to
18 have access to you. You know, you may not want to
19 take that call when they call but you have to take
20 that call. Now if it is not an emergency you can
21 always ask the press if you can call them back and
22 you -- in our office where people are -- I think a
23 lot of the press is under a time line. They want a
24 decision.

25 They want to know about most recently latex.
26 You know, what is our position on latex. They are
27 doing a big story. They have an hour for our

1 comment. Well, we cannot say we are going to call
2 you back in an hour. We have got to figure that one
3 out real quick and get back to them.

4 I think access is a very important part of -
5 - particularly in the federal agencies to improve our
6 ability with media.

7 The dichotomy, I think, is -- it seems to
8 me, whether it is Gulf War, whether it is vaccines,
9 whether it is endocrine disrupters, there is all --
10 the question that you can anticipate from the media
11 is, is it safe. Okay. Is it safe? They will -- you
12 can anticipate that 100 percent. Tell us if it is
13 safe. And often you cannot. You may not be able to.
14 You can say relatively safe. Then they will want to
15 know when is it unsafe. Tell us specifically.

16 And, again, they are after a story. So they
17 are looking for either extremes. We have got this
18 magic bullet and it is totally safe or it is totally
19 unsafe. Of course, we do not work in that atmosphere
20 so we have uncertainty in the science that we present
21 them and how we characterize that.

22 Personalization is an important one because
23 invariably when I speak to a press audience they will
24 say -- someone will say maybe either during the talk
25 or after, they will come up and they will say, "Thank
26 you very much but what do you really think. Tell --
27 I mean, we heard your position but what do you think?"

1 You know, as a person, would you do this? Would you
2 drink this glass of water that came out of this creek
3 that you say is clean? You know, would you? What do
4 you really think?"

5 I think it gets to be very tricky and we
6 want to help. We want to do this. We want to give
7 an honest answer. We want to tell people what we
8 believe but we have to shape it, I think, in terms of
9 where we are. Where we stand depends on where we
10 sit. If you are in an agency your answer is really
11 shaped about what you know about the science.

12 (Slide.)

13 Again, intuitive toxicology. You hear this
14 a lot. This ham smells funny. Do you want it
15 anyway? That is what the cook is saying. I see a
16 lot of intuitive toxicology.

17 The science, what we communicate cannot --
18 even though in this case you might not eat the ham.
19 I would not but you can. We cannot back up our
20 communication on intuitive toxicology. We have to
21 have good science. People may not understand that
22 although they say science is important. Every
23 National Science Foundation Study, they do say people
24 believe in science. Hopefully, that means they are
25 interested in science. I am not sure they are the
26 same. But good science is absolutely key and this is
27 the good of communication.

1 I think basically we do a good job when we
2 talk about the science particularly to other
3 scientists. I mean, we have this -- these two days
4 as an example. We may not agree with each other. I
5 do not think we actually do agree with each other but
6 there is a respect and we communicate our ideas well.

7
8 We are a fraternity that understands each
9 other. This is the good part, I think, of
10 communication and this is what we always want to do.
11 We want to tell people about the evidence. We want
12 to go out and we want to talk. This is what we hope
13 people will ask us because we know that 1937
14 epidemiology study, that 1964 study. We know the '57
15 British study that talks about using aluminum. We
16 know about that. That is what we want to be -- to
17 talk about. Or the dose response. Dose -- this is
18 part of what we do and we are good at it, I think, by
19 and large.

20 (Slide.)

21 But there is the other part. Okay. This is
22 from a super fund site. We had not even spoken yet,
23 right. So there is a perception. When you deal with
24 the public you might not be this up front but we had
25 not even got to the meeting and this is outside of
26 the meeting. So we are in for a rough time, I think,
27 in explaining this health hazard evaluation.

1 (Slide.)

2 I guess this is kind of the central part of
3 my talk and I think makes sense in terms of how we
4 would shape a strategy. But often times, you know, I
5 think when we talk about risks -- now this is
6 perception of risk, is that we want to talk about the
7 hazard. We do want to talk. That is our good part.
8 That is the good part of what we do, is talking about
9 the specific hazard.

10 What we also have to account for in the
11 equation, I think, many times is apathy. I think
12 that is just -- because it shapes the perception of
13 whoever we are talking to about the hazard.

14 (Slide.)

15 So in this country why, why do people not
16 really -- why aren't they outraged about childhood
17 lead poisoning? I talked to CEH at CDC and it is
18 number one -- number two concern of environmental
19 concerns -- of environmental policy makers, is
20 childhood lead poisoning, but who is banging down our
21 doors about childhood lead poisoning.

22 In other words, the perception -- it has
23 been around a long time, whatever that perception is.
24 I think it is shaped by apathy.

25 (Slide.)

26 But for us and for most of the problem, I
27 think, in many cases it is shaped by outreach --

1 outrage. And as I read, I will read some of the
2 questions that I took off the net and I think you
3 will see what I am talking about, how that would --
4 how that perception of risk is shaped by the outrage
5 issue, which we have to account for.

6 You know, if we want science to speak for
7 itself, we are deluding ourselves. Science never
8 speaks for itself. Maybe among scientists. I am not
9 sure that is true but it will never speak for itself
10 if we are talking to the general population because I
11 think there are two -- the perception issue is what
12 we have to account for.

13 (Slide.)

14 Now what do we know about perception? Well,
15 we do know that the level of risk is one of the
16 several factors that determine acceptability and
17 things that shape people's perception are these
18 issues, how they feel about fairness, benefit. I
19 think a better way to look at it, and try to shape it
20 this way, is that as we move to the right side of
21 this line, the perception of risk increases.

22 Now remember it might have nothing to do
23 with the science of the hazard. It is what people
24 are bringing to the equation. What they are bringing
25 into listening to what you are talking about of the
26 hazard. Is it voluntary or involuntary?

1 I know the first time I went skiing, it was
2 sort of a voluntary act. You know, my friends went
3 but I was worried about it. You know, I was really
4 worried. To me that was a big hazard because, I
5 mean, I was not running and jumping in the car with
6 my skis. You know, just the fact that I was going
7 there and I really had not chosen -- well, I sort of
8 chose it so it was -- but it was a fear that I had
9 about, you know, the first time and it was not sort
10 of a voluntary act.

11 Natural and man made. If it is a natural --
12 if it is a natural and we get some good examples here
13 about that. Natural is better. It is not risky.

14 You know, what -- radon, why don't people
15 get exercised about radon? I mean, New Jersey has
16 tried to convince people the importance of radon.
17 Who put it there? Who put radon? Mother Nature.
18 Who put radon in -- well, I guarantee you if the Dow
19 Chemical Company had up radon in there, we would be
20 really irritated about it. Okay. But it is natural.

21 Arsenic in well water in Washington State.
22 It is naturally occurring. We cannot get people to
23 get tested. Right. It is around. It has been
24 around a long time.

25 Familiar and exotic. Is it a familiar risk?
26 What is the number one risk of farmers in this

1 country? The number one risk? Accidents. What do
2 they think in many cases? Pesticides. Right.

3 Well, gee, in our focus groups we talk to
4 them. Well, you know, I have been doing it ever
5 since I was 13 years old. I have been driving the
6 tractor. I get down off the tractor. It keeps
7 moving and I adjust those diskers, right, and then I
8 get back. I have been doing it forever. It is
9 something I know about but I am worried about those
10 canisters of green stuff, you know, that come in. I
11 am really concerned about that.

12 Chronic and catastrophic. Obviously an
13 explosion, probably rightly so, is more -- it is
14 certainly the appearance is more of an event than a
15 chronic exposure over time.

16 Visible and no visible benefits. I think
17 very important for the work place, you know. If you
18 are getting a paycheck -- well, you know, it is -- I
19 mean, I see it. I mean, I see it -- I mean, you
20 know. It is not that -- I have sort of accommodated
21 it because I get a visible benefit from that as
22 opposed to maybe the people across the river who get
23 the smoke from the stacks who have no visible
24 benefit. The same risk. Maybe more risk for the
25 worker actually in the plant.

26 And controlled by individuals and controlled
27 by others. You know, I think a good way to look at

1 this is when -- at Thanksgiving, you know, when
2 somebody -- you are carving up the turkey, right, and
3 you have got the turkey right here, and you have got
4 your knife, okay. It is no problem. You know, you
5 can -- you are in control. You hand that knife to
6 somebody else and say cut the turkey, all of a sudden
7 it is very risky business, and you are worried about
8 this thumb all of a sudden, see.

9 You are not -- and my wife, who is a
10 wonderful driver, I mean she is a better driver --
11 when I am in the car with her and she is driving,
12 man, I am worried, right. I am sitting next to her.
13 I am doing this and I -- for the brake, looking for
14 the brake. I am not in charge. I do not have any
15 control over the situation and that is important.

16 How much control? Particularly we found at
17 super fund sites -- how do we give people some
18 control? Do you give them a veto power of studies
19 that you are going to do? What is the limit of
20 control that you are willing to do? ATSDR has done a
21 lot to go to involve people even at community
22 sessions. And prior to actually going into studies
23 to invest people with some control in the study.

24 And fair and unfair, I think, goes without
25 saying.

26 Let me just take a few minutes to read
27 you -- what I did is I did, you know, a search on the

1 net and I was looking for some comments. I am not
2 going to identify this site but it is fairly easy to
3 find. You probably will recognize it. It is a
4 question and answer session.

5 This is someone writing in saying, "When I
6 told my doctor that I am not going to have my
7 children vaccinated, he became very intimidating and
8 told me that he will not treat my children and that I
9 was no longer welcome in his office. Do you have a
10 list of doctors in my area who will respect my
11 decision not to vaccinate my children?" Control.
12 The answer is -- let me give you the answer.

13 I am not going to answer -- but "Your
14 situation is not uncommon. Many pediatricians refuse
15 to treat children when their parents object to shots.
16 This is just one tactic doctors employ in the effort
17 to intimidate moms and dads into vaccinating against
18 your will. You should be thankful that this
19 dysfunctional relationship with your health
20 practitioner has been terminated."

21 Again, control. I -- who is in control
22 here? Who is in control? I am not making any -- I
23 am being sort of a devil's advocate here. I am not
24 making any point other than reporting here.

25 Other question: "I was wondering if you had
26 a listing of pediatricians who would allow parents to
27 make decisions?" Again the same line -- the same --

1 "My wife and I just became parents and we are finding
2 it extremely difficult to find a pediatrician who
3 will let -- who lets us be in charge."

4 And then the issue of -- this is in an
5 answer to a similar question: "Some doctors will
6 just say anything to get their parents to vaccinate
7 even if it does not make sense or it is an outright
8 lie. It is a ploy to coerce you into vaccinating
9 your child." You are losing control. You are not in
10 control as a parent. I mean, that is what this says
11 to me.

12 Not only that, but it is mandatory. You do
13 not have a choice. Okay. It is much more real in
14 terms of the risk.

15 Again, "Thank you for your information on
16 your web pages. Do you have, in particular,
17 information on homeopathy as a method to boost my
18 immune system in treatment for my child?" There is
19 no answer to this one.

20 Another one -- but again this is the natural
21 -- this is the natural piece here. Homeopathy, a
22 natural therapy, not as risky as this more exotic
23 issue with vaccine, especially maybe even what I have
24 been reading in these pages.

25 The answer, it says, "Many intelligent
26 people do not think every childhood ailment is a
27 grave cause of concern. They wonder why a child's

1 immune system needs special treatment. Breast
2 feeding and natural foods work for many families."

3 So it is sad. I mean, it is sad, though. I
4 mean, I think it is very sad. But again for agencies
5 what are -- what -- it seems to me this list -- this
6 list of 100 questions that came off the site provide
7 us a starting point to answer questions. I mean, to
8 have our own answers to these questions about what is
9 real and what is not real, and to have linked sites
10 so people get information.

11 It does in one case mention CDC and it talks
12 about adverse reporting system at CDC and it calls it
13 a great secret database. Okay. It is a secret
14 database.

15 I could go on. I will not. I wanted -- but
16 I will -- this is just -- you know, this one
17 particularly is touching, I think, and it just cries
18 out for why we need to do a better job. I mean, we
19 really need to get a grip, I think, on what people
20 are asking and then, you know, answer them the best
21 way we can, decide if it varies from group to group.

22 And one of the things I did hear, you know,
23 at this meeting is that there are several federal
24 agencies involved in this. We have several things
25 just mounted on our web page. I heard some -- NIH, I
26 think, talk about a compendium of adjuvant
27 information. I do not know whether that is geared to

1 the general public or not. My guess is it is not but
2 it would be helpful.

3 Again, the information that we do put up, is
4 it consistent across the board? Does it really get
5 to some of the general public's concerns that are
6 more science based?

7 Let me read you this final one though. This
8 is from a mom in New Mexico. She says, "I am in
9 search of real chicken pox for my seven-year-old son.
10 He has not yet had the disease and people here in New
11 Mexico seem to vaccinate their children a lot in
12 order to avoid having to take time off from work. Do
13 you know of any way for parents like me to share the
14 disease in a natural setting?"

15 Now you just -- you know, just amazing. I
16 mean, it is just -- it is amazing but I think this is
17 only -- in the short time that we are talking today,
18 this is only just a sample of perceptions, I think,
19 that we have pulled together that we can account for
20 in our messages. If we have the right channels we
21 can answer those questions.

22 Now that does not mean I think we are always
23 going to be successful. I think if you are in the
24 risk communication business basically you are not
25 looking for a lot of strokes in your life. I mean, I
26 think this is a -- really, it is true. If you are in
27 public affairs, you get some of those strokes but if

1 you are communicating negative risk information you
2 better be able to take some hits because again I
3 think the perception issues dominate.

4 (Slide.)

5 Let's talk about science. The scientific
6 community is divided. Some say this stuff is
7 dangerous. Some say it is not. Okay. Right? I
8 mean, how -- this -- I call this the tale of two
9 toxicities. Right? It is the best of times and the
10 worst of times.

11 Well, when we communicate to workers at
12 NIOSH or at ATSDR, when we talk to communities, many
13 times this was our message. We are not real sure --
14 this is what we have done, uncertainty -- what is it?
15 Pervasive uncertainty. What a great term, I think.
16 Pervasive uncertainty. Well, how do we handle that?
17 People do not handle that well.

18 You know, they -- again the dichotomy. Just
19 tell me is it safe. Can I drink the water? Is it
20 safe? Can I bring my kid in here? You know, what is
21 the deal? Please, just tell me if it is safe or not.
22 But in many cases we do have a -- we are divided. So
23 how do we handle this?

24 (Slide.)

25 Well, there is -- you know, it seems to me
26 and I sort of -- I think maybe I need to modify the
27 list a little bit but I think we need to be a little

1 bit more proactive in terms of what we do know about
2 the science. I mean providing we can boil it down so
3 that folks can understand it.

4 We need to put bounds on the uncertainty.
5 It is not everything that is uncertain. Are we
6 uncertain about everything? I mean, I heard some
7 terrific things from John Clements. He opened up
8 with terrific messages, you know. Millions of kids
9 have been protected. We are not talking a couple of
10 hundred. You know, millions of kids over years. And
11 what would those kids be today? I mean, what would
12 our world look like? I mean how do we shape that
13 message?

14 Not all data are uncertain. I mean, you
15 know, which are why -- say what. Say what has been
16 done to reduce this uncertainty. You know, we agree
17 there is uncertainty but we are doing this and if
18 there is a time line by X time, we hope to have an
19 answer to this. And do not hide behind it. Well, we
20 do not know, you know, we just do not know. Do not
21 bug me, I really do not know. You know, we will find
22 it and we will let you know. Okay.

23 Acknowledge if you -- well, we should have
24 been doing this, you are right. You are absolutely
25 right, we should have done that but we are cautious
26 and this is why we are cautious -- Okay. In many
27 cases this is a resource issue but that is -- I think

1 that is something that may not carry a lot of weight
2 with the public but it is certainly part of our job.

3 (Slide.)

4 And, again, talk about simplicity. All
5 right. Again here is a menu and risks and benefits.
6 Okay. I do not know about you -- I still eat hot
7 dogs, right. I cringe when my kids eat them but, you
8 know -- but I eat them and I try not to eat them in
9 front of my kids.

10 (Laughter.)

11 Because I know this, you know, I know this
12 side of it. But I guess this is just think -- I am
13 thinking about that compendia. I do not know what it
14 looks like. I cannot wait to go home and pull it
15 off. But I will bet there is some good stuff in
16 there that we could reduce down and make a simple
17 fact sheet or something that is really -- would help
18 somebody -- maybe some of these folks because they
19 are -- they are referring to federal sites on these
20 sites.

21 But what do we have for them to answer some
22 of these questions? What simply can we do? Maybe it
23 will not be this simple but I think it is a nice
24 model.

25 (Slide.)

26 Again, we -- what is it we do with the
27 messages just -- I think, you know, hopefully we

1 state our messages. I mean, if you are at a public
2 meeting and somebody is going to attack you and --
3 you know, I think going into those meetings we should
4 have three or four major points that we want to
5 bridge to.

6 We will try to use the hostility maybe at
7 the meeting to bridge -- this is true and the Gulf
8 War brought it home to me that we go into that
9 meeting and we want to tell that we have got three
10 things to tell. Okay. And, by golly, we are going
11 to tell those. And that is our message -- if we get
12 a chance we will elaborate on those. You know, what
13 is it that makes -- you know, what can we say that
14 goes beyond?

15 Some of the messages I heard from John
16 Clements, you know, there is a history here. This is
17 where kids -- if we were not here, this is where we
18 would be. And maybe some illustrations to go along
19 with that.

20 I mean, I am happy if we are here, though.
21 We got -- you know, this is again -- I hate using
22 John all the time but he had the three messages, I
23 think. You know, this is a new era, right to know is
24 important, and we have a right to get our message
25 out. You know, we have a right.. We have the same
26 rights. What is our message, though, and can we
27 state them and state it clearly?

1 (Slide.)

2 Information is clearly not enough. This is
3 kind of the last take home message that I have. You
4 know, we talk about dissemination and we talk about,
5 I think, giving out information. It is almost like
6 the -- I think we are sort of hung up on the postal
7 theory. You know, we are delivering information, you
8 know. We are delivering something to our clients.

9 When really, you know, it should be a two-
10 way kind of operation. It is -- and it is not just
11 information. How much audience research do we know?
12 Do we know who our audience is? Do we know really
13 how to reach audiences? And what form really should
14 that take?

15 (Slide.)

16 My last slide is the big money slide, okay.
17 This is what -- someone found out that I -- I teach
18 a lot in communication. We have a three day course.
19 We have a three day media course. I talk about the
20 eight lessons of risk communication.

21 Well, I am going to show you these eight
22 lessons. These are the key points. Okay. This is
23 the last take away message. These are the eight
24 lessons of risk communication.

25 Again, I cannot emphasize -- and what is
26 good about this is you only have to remember one of
27 them. That is the part I like. And maybe a year

1 from now this -- you will remember this slide. Maybe
2 this slide and the dog slide. Probably that will be
3 it. But I think that this is a key point. I mean,
4 we -- and I think it is a problem that we have with
5 our internet sites that we are dumping out stuff.

6 We are looking at a very general audience.
7 I am not saying we do not do that but there is no
8 reason we could not have a kids' site. There is no
9 reason we could not have, you know, health
10 professionals site. We are trying to work with this
11 at NIOSH really. The worker sites, different
12 workers, miners, construction, you know, it really is
13 the key, I think, is to approach it in a client base.

14
15 I have one final note and that is that I
16 want to thank John very much for -- I mean, Dr. Myers
17 for inviting me here today and I know he will say
18 thank you for coming but I want to say thank you for
19 staying. Thank you.

20 (Applause.)

21 DR. MYERS: Thank you very much, Max.

22 DR. LUM: That could be dangerous.

23 DR. MYERS: To keep us on time I think I am
24 going to just move on now.

25 Probably the hardest thing in any meeting
26 like this is to be the summarizer, the rapporteur,
27 and Ted Eickhoff, who many of us have known for some

1 time, who is a professor at the University of
2 Colorado, and he admits to particularly an
3 embarrassingly long relationship with vaccines and
4 infectious diseases, and was kind enough to agree to
5 do this. But when my staff asked him for his bio,
6 they added on the end here -- I have to read this.
7 It is too good.

8 He claims that his service as rapporteur for
9 this meeting is attributable to Marty Myers² seeking
10 revenge for sins committed in a previous incarnation.

11 Ted, thank you very much.

12 WORKSHOP SUMMARY

13 THEODORE EICKHOFF

14 DR. EICKHOFF: Thank you, Marty. If there
15 were ever a job that I took on that proved ultimately
16 to be anticlimactic, this is it. I will be brief,
17 even probably briefer because you will note that
18 there is no discussion session that follows my
19 summary of the conference so I promise to get you
20 back on schedule.

21 First of all, was this conference simply
22 thimerosal-2? You know, the same conference with a
23 new cast of characters, not even a new cast of
24 characters but a new topic, a new incarnation.

25 Well, I think my answer is both yes and no.
26 Yes, because we heard the word "pervasive
27 uncertainty" several times. First, I think from you,

1 Mr. Chairman. And we heard a lot of it at the
2 thimerosal workshop not quite a year ago.

3 But that really is sort of where the
4 resemblance stops, I think. It is not thimerosal-2
5 in terms of at least two broad senses. First, there
6 is much less of a sense of crisis or something
7 impending, something happening right now, than there
8 was in the case of the thimerosal symposium. And,
9 two, there is much, much less toxicity risk that
10 concerns us today, probably by several orders of
11 magnitude.

12 Yesterday was a day of, I think, very
13 important background learning. Let me just review
14 some of the high points of that.

15 Dr. Hunter provided a very much needed basic
16 overview of the history of adjuvant development, the
17 rationale for putting adjuvants into vaccines and
18 some of the likely mechanisms that operate about
19 which we heard a great deal more later on.

20 Norm Baylor gave, again, a very much needed
21 U.S. perspective, particularly an FDA perspective on
22 adjuvants; reviewed the three basic aluminum salts
23 that we use or that are used in vaccines; reviewed
24 the earlier comparative trials that showed the clear
25 advantages of adjuvanted vaccines, particularly in
26 terms of primary immunization; showed some very
27 interesting data about aluminum or aluminum adjuvant

1 levels in individual vaccines; and brought out that
2 the variation could, indeed, be quite significant, as
3 much as threefold frequently and perhaps even as high
4 as fourfold variability in concentration of aluminum
5 salts by individual vaccines.

6 He pointed out the problems in changing the
7 dose and character of adjuvants. Much as we like to
8 put old wine into new bottles, as it were, basically
9 any change in the character or concentration of
10 adjuvant in the vaccine creates a new product, a new
11 vaccine for which a whole set of new trials has to be
12 done, both safety and efficacy.

13 So it is a long and arduous job and I think
14 the likelihood that we are going to see any change in
15 the current use of adjuvants in the next -- in the
16 foreseeable future at least with existing vaccines
17 currently marketed is probably very low.

18 Dr. Clements offered the much needed WHO
19 perspective. Their goal ultimately is a very
20 understandable one, to create single dose --
21 ultimately single dose vaccines for what are
22 currently multiple dose vaccines.

23 The rationale, I think, is very simple and
24 easy to understand. I would emphasize again the six
25 classical vaccines that are currently recommended for
26 use in EPI or the expanded program on immunization.

1 These are in addition to BCG, diphtheria, tetanus or
2 pertussis, OPV, and measles.

3 I found Carl Alving's presentation
4 particularly interesting. His discussion of adjuvant
5 immunology, types of immune response induced,
6 different types of adjuvants. On one occasion he
7 manifested an interest in going back to Freund's
8 incomplete adjuvants stating how much he liked it and
9 how potent it actually was. Given what Norm
10 Baylor told us earlier, this probably is not going to
11 happen much as we might like it to.

12 I found particularly fascinating his
13 discussion of mucosal immunity, particularly the
14 reflection on some of his own work with skin
15 immunization. I think this is -- this was
16 particularly interesting and potentially at least
17 very broadly applicable pending, of course, a whole
18 lot of further study.

19 Later in the morning Drs. HogenEsch and
20 Fowler discussed adjuvant properties of aluminum, the
21 nature of the Type 2 antibody response, some of the
22 cytokine and chemokine drivers of that response. And
23 then Dr. Fowler presented an interesting discussion
24 of binary metal mixtures and introduced -- really in
25 a sense introduced the afternoon session with his
26 discussion of stress protein response, a beginning

1 understanding of how aluminum could be bound by
2 metallothionine molecules within the body.

3 We began then in the afternoon to get some
4 discussion of pharmacokinetics from Dr. Hem. And we
5 began to appreciate, I think, from his presentation
6 just how widespread aluminum was in the environment
7 and began to get some appreciation of the levels and
8 quantities of aluminum in our environment,
9 particularly in our bodies, where it went, where it
10 was stored, and how it was handled.

11 Drs. Keith and Wheeler from ATSDR, I found
12 this particularly interesting, particularly
13 informative and particularly problematic.
14 Toxicology, we did learn that it takes quite a little
15 bit of aluminum to make a mouse sick. I think if I
16 remember the figures correctly, it was about 100
17 milligrams per kilo, presumably by the oral route to
18 make the mouse acutely ill.

19 The closest documentation in my opinion of
20 aluminum toxicity in people probably is in the
21 dialysis dementia story. This goes back now 10 or 15
22 years, I believe. It is a unique situation.
23 Probably not of any direct applicability to us as
24 people interested in vaccine and vaccinology but it
25 is probably, in my judgment at least, the clearest
26 evidence of aluminum toxicity in humans and what it
27 might do.

1 The phenomenon of -- or the minimal reactive
2 levels, MRLs or minimum risk levels, I guess, rather
3 than minimum reactive levels, this was a methodology
4 that I, at least, first heard about at the thimerosal
5 workshop and probably understand quite a bit better
6 after yesterday's presentation than I did a year ago.

7 The use of NOAELs and LOAELs is interesting
8 and probably one very reasonable place to start.

9 What troubles me are the uncertainty factors
10 because they are -- well, just exactly what the name
11 says. They are uncertainty factors and the fact that
12 one conceivably could have 10^5 since there were five
13 uncertainty factors listed, each one of which has a
14 value of ten, the maximum uncertainty factor,
15 therefore, would be 10 raised to the fifth power or
16 100,000.

17 ATSDR took a look at that and said that is
18 probably unacceptable and reduced it perhaps somewhat
19 arbitrarily to 10^3 but we are still dealing with
20 1,000-fold uncertainty factory.

21 So it is -- it strikes me as a very
22 imprecise science at best but it is a good place to
23 start and probably the only place to start.

24 Nonetheless, it does bring up the issue of
25 vaccine formulation and while I will certainly admit
26 that it is more than black magic as someone alluded
27 to yesterday, it still -- there is a great deal of

1 empiricism that seems to go into selection of doses
2 of aluminum adjuvants that goes into vaccine.

3 So an imprecise science at best.

4 Later in the afternoon, Peggy Rennels
5 presented a very, very interesting study of limb
6 swelling in booster doses of DTaP for the most part
7 and showed, I think, pretty clearly that the aluminum
8 adjuvant, if it plays at all, plays a role at all,
9 plays probably only a minor role in this interesting
10 hypersensitivity reaction of entire limb swelling.

11 Dr. Pittman later on was the last discussion
12 in the afternoon. He told us about the pilot study
13 of reactions to anthrax vaccine, which elicited
14 really two responses. One, some very useful
15 suggestions, I think, as to the design of the larger
16 congressionally mandated trial and a discussion,
17 which I think you will all remember, of switching
18 immediately or promptly to intramuscular dosage
19 rather than subcutaneous. And, again, Norm Baylor
20 pointed out that we cannot really do it quite that
21 quickly. The larger trial will need to be
22 carried out.

23 Finally today the MMF story was a
24 centerpiece, certainly a high point of this
25 conference, and the audience reflected a certain
26 amount of skepticism. Skepticism may not be quite
27 the right word but scientific skepticism probably at

1 its best was quite apparent, and as it should be
2 because there are great, great many unanswered
3 questions at this point.

4 Is this an epi phenomenon? Is it a trigger?
5 To use Dr. Verdier's hypothesis number three, I
6 believe, in his construct. Is this a trigger for an
7 accelerated immune activation response in a
8 population that is otherwise susceptible, as witness
9 the increased frequency of connective tissue diseases
10 and MS in the population of 50 MMF cases?

11 So there remains a great deal of work to be
12 done to explore this interesting entity more fully.

13 In the panels, the panels were, I think, a
14 great deal of help in defining the agenda. They
15 occurred very recently, are fresh in your mind, and I
16 really see no particular reason to review their
17 findings and high points.

18 Panel A, as you recall, had some slides.
19 The MMF slides, the audience tried to do a great deal
20 of wordsmithing on those particular slides, and I
21 think still were not completely satisfied.
22 Fortunately, Panel B chose not to use the slide
23 approach or else we would still be here wordsmithing
24 that one. But in any event the panels, I thought,
25 were particularly helpful.

26 Finally, I would like to comment just
27 briefly on Max Lum's presentation and thank him very

1 much for taking us through this sort of reality
2 exploration of risk communication. Something we have
3 historically not done very well at all. And that
4 will give me a quick opportunity to promote Bruce
5 Gellin's initiative for the Infectious Disease
6 Society on Vaccine Information and Communication,
7 both within the profession and to the public. I
8 think this is a superb effort being sponsored by the
9 Infectious Disease Society.

10 So I think I have reached the end of my
11 comments save perhaps one. I certainly do not
12 promise that I will include all these slots in our,
13 Dr. Myers, written summary, which I agreed to co-
14 author with Marty. And I certainly expect that the
15 written summary will provide some additional thoughts
16 as well.

17 The one remaining thought, I think, Dr.
18 Myers, I am sure, will thank his staff and we would
19 wish to thank his staff as well, but it has been, I
20 think, totally apparent to all of us that, Dr. Myers,
21 you put a great deal of thought and effort into
22 planning this workshop, this symposium, and I am sure
23 the members of the workshop will join me in giving
24 you a big round of applause.

25 (Applause.)

26 ADJOURN

27 MARTIN MYERS

1 DR. MYERS: Thank you very much, Ted. Thank
2 you all.

3 I think it has been a wonderful meeting. I
4 have learned a great deal and I obviously especially
5 want to thank the NVPO staff for all of their
6 activities. Lena Kombo, who most of you have met,
7 and Sandra Browning, who was not able to be here,
8 Robin Hughes and Theresa Hardy, who got us all
9 organized and have kept us on schedule and so on.

10 I would also like to say a special word of
11 thanks to Dan Reed for sitting in the back. Dan
12 thought he was going to come and just be a
13 participant but he got sworn into activity. So Dan
14 is here. I think everybody else is outside. Lena is
15 in the back also.

16 Lena, would you stand up so everybody can
17 see who their e-mails come from?

18 (Applause.)

19 DR. MYERS: And, Dan, would you raise your
20 hand?

21 And if you would just say thanks on the way
22 out to that wonderful staff.

23 I would also like to thank our speakers and
24 discussants in advance for their summaries and their
25 manuscripts by the first of June to Lena so we can
26 get a timely report out.